

THE U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES
AGENCY FOR TOXIC SUBSTANCES AND DISEASE REGISTRY

convenes the

TWENTY-FOURTH MEETING

CAMP LEJEUNE COMMUNITY ASSISTANCE

PANEL (CAP) MEETING

January 17, 2013

The verbatim transcript of the
Meeting of the Camp Lejeune Community Assistance
Panel held at the ATSDR, Chamblee Building 106,
Conference Room B, Atlanta, Georgia, on
January 17, 2013.

STEVEN RAY GREEN AND ASSOCIATES
NATIONALLY CERTIFIED COURT REPORTING

404/733-6070

C O N T E N T S

January 17, 2013

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS CHRISTOPHER STALLARD	5
ACTION ITEMS FROM PREVIOUS CAP MEETING PERRI RUCKART	8
Q&A SESSION WITH THE VA DISABILITY CLAIMS HEALTHCARE BENEFITS WENDI DICK, BRAD FLOHR, TERRY WALTERS	11
WATER MODELING UPDATES STATUS OF REPORTS DISCUSSION OF METHODS FOR ESTIMATING LEVELS OF CONTAMINANTS IN DRINKING WATER MORRIS MASLIA	64
CAP UPDATES/COMMUNITY CONCERNS CHRISTOPHER STALLARD AND CAP MEMBERS	112
UPDATES ON HEALTH STUDIES: BIRTH DEFECTS, CHILDHOOD CANCERS ADVERSE PREGNANCY OUTCOMES HEALTH SURVEY MORTALITY STUDY MALE BREAST CANCER FRANK BOVE, PERRI RUCKART, EDDIE SHANLEY	119 120 121 122 130
OPEN DISCUSSION OF ISSUES RAISED DURING MEETING CHRISTOPHER STALLARD AND CAP MEMBERS	132
WRAP-UP CHRISTOPHER STALLARD	150
COURT REPORTER'S CERTIFICATE	165

TRANSCRIPT LEGEND

The following transcript contains quoted material. Such material is reproduced as read or spoken.

In the following transcript: a dash (--) indicates an unintentional or purposeful interruption of a sentence. An ellipsis (. . .) indicates halting speech or an unfinished sentence in dialogue or omission(s) of word(s) when reading written material.

-- (sic) denotes an incorrect usage or pronunciation of a word which is transcribed in its original form as reported.

-- (ph) indicates a phonetic spelling of the word if no confirmation of the correct spelling is available.

-- "uh-huh" represents an affirmative response, and "uh-uh" represents a negative response.

-- "*" denotes a spelling based on phonetics, without reference available.

-- "^" represents unintelligible or unintelligible speech or speaker failure, usually failure to use a microphone or multiple speakers speaking simultaneously; also telephonic failure.

P A R T I C I P A N T S

(alphabetically)

BLAKELY, MARY, CAP MEMBER
BOVE, DR. FRANK, ATSDR
BRIDGES, SANDRA, CAP, CLNC (via telephone)
CLAPP, RICHARD, SCD, MPH, PROFESSOR
DICK, WENDI, VA, OFFICE OF PUBLIC HEALTH
ENSMINGER, JERRY, COMMUNITY MEMBER
FLOHR, BRAD, DEPARTMENT OF VETERANS AFFAIRS, COMPENSATION
SERVICE
MARKWITH, GLENN, NAVY MARINE CORPS PUBLIC HEALTH CENTER
MASLIA, MORRIS, ATSDR
PARTAIN, MIKE, COMMUNITY MEMBER
PORTIER, DR. CHRISTOPHER, DIRECTOR NCEH/ATSDR
RUCKART, PERRI, ATSDR
SINKS, DR. TOM, NCEH/ATSDR
STALLARD, CHRISTOPHER, CDC
TOWNSEND, TOM, CAP MEMBER (via telephone)
WALTERS, DR. TERRY, VA

P R O C E E D I N G S

(9:00 a.m.)

WELCOME, INTRODUCTIONS AND ANNOUNCEMENTS

MR. STALLARD: Welcome, everyone. Welcome to our January 17th CAP meeting. Happy New Year to everyone. Before we go around and do introductions, as is our custom, I'd like to go over some guiding principles, and then go around to do introductions and get into the agenda. And if you have anything to add to these guiding principles, please let me know that. If you recall --

MS. BRIDGES: Sandy Bridges on the phone.

MR. STALLARD: Hi, Sandy, we're going to get to introductions in just a minute.

MS. BRIDGES: Okay.

MR. STALLARD: All right, and welcome.

MS. BRIDGES: Thank you.

MR. STALLARD: Please turn your cell phones on stun, silence or off so that we cannot disrupt the proceedings here today. As you know the audience is here to listen. That includes the audience that's receiving this broadcast right now. For the audience that's in the room, we ask you to refrain from jumping into the conversation unless asked to by members of the CAP panel.

1 For those of you on the CAP meeting, please use
2 your microphones when you speak, if you recall, and
3 you have to push it so the red light comes on -- or
4 green light, and state your name for the court
5 reporter. Respect the speaker. One speaker at a
6 time, and it's not generally our practice to have any
7 shouting matches here but I just want to remind you
8 that one speaker at a time so that we can record
9 what's being said. Again, no personal attacks. We
10 ask that you refrain from emphasis with profanity,
11 please.

12 And given the fact that we are here in a public
13 health environment, this is an opportunity for a
14 public health message. This is flu season; it's very
15 bad. Please be sure that you practice good public
16 health hygiene, if you have to cough, into your elbow
17 and wash your hands frequently. So with that, let's
18 please go around the room and introduce yourself, your
19 name and your affiliation.

20 **DR. DICK:** Wendi Dick, Office of Public Health
21 with Veterans' Affairs. Oh, sorry. Wendi Dick,
22 Office of Public Health, Veterans' Affairs.

23 **DR. WALTERS:** Terry Walters for the VA.

24 **MR. FLOHR:** Brad Flohr, Department of Veterans'
25 Affairs Compensation Service.

1 **MR. MASLIA:** Morris Maslia, ATSDR division of
2 community health investigations.

3 **DR. BOVE:** Frank Bove, ATSDR.

4 **MS. RUCKART:** Perri Ruckart, ATSDR.

5 **DR. PORTIER:** Chris Portier, Director ATSDR.

6 **DR. CLAPP:** Dick Clapp, member of the CAP.

7 **MR. MARKWITH:** Glen Markwith, Navy/Marine Corp
8 Public Health Center.

9 **MR. ENSMINGER:** Jerry Ensminger, Camp Lejeune
10 CAP.

11 **MR. PARTAIN:** Mike Partain, CAP.

12 **MR. TOWNSEND:** Tom Townsend, CAP.

13 **MR. STALLARD:** Okay, welcome Tom, thank you.

14 **MS. BLAKELY:** Mary Blakely, CAP.

15 **MR. STALLARD:** Thank you, Mary.

16 **MS. BRIDGES:** Sandy Bridges, CAP.

17 **MR. STALLARD:** All right, Sandy, welcome.

18 **MS. BRIDGES:** Good morning.

19 **MR. STALLARD:** Good morning to you. Dr. Portier,
20 do you have any comments before we move on?

21 **DR. PORTIER:** No. Let's...

22 **MR. STALLARD:** Get right into the agenda? Well,
23 I have no other formal announcements to make. There's
24 been no changes in CAP membership. We'll allow Dr.
25 Sinks to introduce himself for the benefit of all.

1 **DR. SINKS:** Tom Sinks, deputy director
2 NCEH/ATSDR.

3 **MR. STALLARD:** All right. One announcement in
4 terms of CAP transitions. I ask that you do all sign
5 on the sign-in sheet when you came in; that's
6 important for us to keep track. And with that, we're
7 going to move right into Perri providing us action
8 item update from the previous CAP meeting. Perri?

9 **ACTION ITEMS FROM PREVIOUS CAP MEETING**

10 **MS. RUCKART:** Okay, good morning. I handed out
11 to everybody a summary of the last meeting, and I'm
12 not going to go over that in detail but you can read
13 through it and refresh your memories there. I just
14 want to hit the highlights and go over the action
15 items from the last meeting.

16 So at our last meeting, there was some discussion
17 regarding Chapter D on the RCRA sites and the ground
18 water contaminants. Dr. Portier stated that the DoD
19 would receive the document before the release as a
20 heads-up, informational copy, not for their review.
21 And the CAP requested that they have the same
22 opportunity as the DoD. And Dr. Portier said that
23 would be fine.

24 So a conference call was held with the CAP on
25 December 4 to discuss Chapter D, and the CAP was

1 provided with an advance copy of the report the
2 morning of the call. During the last meeting, Mike
3 asked that we email the CAP the total number of
4 cancers and other diseases, among both Camp Lejeune
5 and Pendleton, that we're seeking confirmation on from
6 the health survey as well as the list of diseases, and
7 all that information was shared with the CAP later on
8 in the afternoon, after the meeting ended in July.

9 Mike also asked if he could share his list of
10 self-reported male breast cancer cases so that we can
11 cross-reference them with the names identified from
12 the VA cancer registry, and tell them the number of
13 cases that matched, and how many more were added, of
14 course not to share the personal identifying
15 information. However, we're unable to provide the
16 number of matches between your list and the cancer
17 cases in the male breast cancer study because of
18 confidentiality.

19 There's likely to be few matches because your
20 list is going to include a lot of people that wouldn't
21 be covered by the registry. So for that reason, it
22 might be possible to identify the cases and the
23 identities need to remain confidential.

24 Glenn Markwith said a letter will be forthcoming
25 to the CAP, explaining the server problem with the

1 USMC registry website, and that information was
2 provided and shared with the CAP in late July. That's
3 it.

4 **MR. STALLARD:** Good, thank you, Perri.

5 **MR. PARTAIN:** Perri, this is Mike Partain.

6 (loud noise interference)

7 **MR. STALLARD:** It's me. Sorry.

8 **MR. PARTAIN:** On the -- with the male breast
9 cancer study, they were going to -- y'all were going
10 to get a letter to me, a formal request, so I can give
11 the information. I'm still waiting on that. With a
12 letter basically saying that you weren't going to
13 share the information, so I could have it for my
14 records.

15 **MS. RUCKART:** Well, at the time that you made the
16 request, I wasn't aware of what the response was, so I
17 -- this decision was recently made, when we were
18 preparing for this meeting, so I didn't realize you
19 wanted a formal request. Your request to us was
20 verbally. I think normally we respond in writing when
21 we get a written request.

22 **MR. PARTAIN:** Well, I can get a written request.
23 The main purpose of it is, you know, to show the list.
24 I just wanted to have something from y'all stating
25 that you would like to see it. I understand the

1 confidentiality part and what have you; I'm not
2 disputing that. I just want to, you know, have
3 something to CYA myself.

4 **DR. PORTIER:** That's fine. We'll get you.
5 Basically you just want a letter that says: We want
6 your list and we won't share it with anyone; keep it
7 confidential. We can do that.

8 **MR. PARTAIN:** Yes, thank you.

9 **Q&A SESSION WITH THE VA**

10 **MR. STALLARD:** Thanks. Well, then, moving on,
11 this is our opportunity for our update question and
12 answers with our Veterans' Affairs colleagues. You do
13 have a presentation?

14 **DR. WALTERS:** I have a presentation. Brad, do
15 you want to go first?

16 **MR. FLOHR:** Yes.

17 **DR. WALTERS:** Okay.

18 **MR. FLOHR:** We are continuing to process claims
19 in our Louisville regional office. There was some
20 concern about numbers of claims we might receive
21 following passage of 112-154, so some of our folks
22 have been looking at perhaps decentralizing, if
23 necessary, but so far we have not received really a
24 big increase in the amount of claims that we've got.

25 There's not been a big increase in the numbers of

1 claims coming into Louisville since the -- since
2 August, since that legislation was passed. So for
3 time being, at least, they will remain there.

4 There also is a big reporting change that we are
5 making. Not all claims based on Camp Lejeune service
6 are actually done in Louisville. There are certain
7 categories of cases, claims that we do electronically.
8 They are only done in a couple of offices and they
9 remain by being processed there. We're going to be
10 able to track all of those cases in the new report
11 that we have developed. It's in the final stages.
12 Louisville itself will not have a run of numbers and
13 it will be done by our data folks, accumulative total,
14 and we update it whenever we need it or when we're
15 asked to provide it to press or whomever needs it. We
16 will have all the data available.

17 We have also worked with Dr. Walters' staff, not
18 necessarily Dr. Walters' staff but Veterans' Health
19 Administration. We went to Louisville. We sent
20 several medical physicians, clinicians and VA claims
21 processors to Louisville, and we looked at every
22 decision that had been made, every grant, every
23 denial, to see how, in fact, how consistent we were
24 being, 'cause our goal was to be as consistent as we
25 can possibly be in making decisions on claims.

1 We decided to, to develop a list of subject
2 matter experts. These are clinicians in environmental
3 medicine, people that are up-to-date on all the
4 available information about Camp Lejeune, about the
5 water contamination. And what happens now is that
6 Louisville will make a request to one of these SMEs,
7 the claims file will be sent to them, they will review
8 the evidence in the claims file and make the decision.
9 Again, our hopes are that it will be more consistent,
10 that when something needs to be -- should be granted,
11 it is. And we'll see how that works but I think it's
12 -- so far it's going to be working pretty well.

13 We continue -- our data that we have shows that
14 we're providing at least one granted disability in 25
15 percent or so of the decisions that we make; that has
16 not changed over the last year and a half. I think
17 that's a fiscal type anomaly. Once a baseline is
18 attained it tends to stay that way.

19 Other than that, I don't have anything else to
20 update you on. I'll be glad to answer any questions.

21 **MR. PARTAIN:** Brad, you mentioned there's been no
22 significant increase since the pass of the
23 legislation. Can you give an idea --

24 **MR. FLOHR:** In claims per compensation.

25 **MR. PARTAIN:** Claims per compensation. Can you

1 give an idea, like is there a number of claims per
2 month that you're getting in for Camp Lejeune with the
3 VA?

4 **MR. FLOHR:** It is -- I don't have the numbers
5 with me, Mike. I think it's somewhere in the order of
6 a hundred or so.

7 **MR. PARTAIN:** All right.

8 **MR. ENSMINGER:** What's the main reason for any
9 denials?

10 **MR. FLOHR:** The main reason for denials is the
11 negative medical opinion. We're looking at all the
12 evidence and when requested, if medical opinion comes
13 back indicating that it is less likely than not that
14 it's not due to the water contamination. It's similar
15 to, yeah, all of our claims that we get medical
16 opinions on, so that's fine.

17 **MR. ENSMINGER:** When we're dealing with the lack
18 of information right now pre-'57 concerning claims
19 that -- from veterans that were at Camp Lejeune prior
20 to 1957, I've got one guy that's a metastasized male
21 breast cancer patient who is dying. I got a call from
22 him on my way here yesterday, and he received another
23 denial. And they cited the fact that they, the VA,
24 does not have information on exposures prior to 1957
25 at the Hadnot Point system.

1 **MR. FLOHR:** Yeah, well, of course I'm aware of
2 that case, and I did some work on that case when I
3 heard about this particular veteran was at Camp
4 Lejeune between 1954 and 1956. When the claim was
5 received, it was submitted to Louisville. Louisville
6 looked at it and said, well, he wasn't there when the
7 water was contaminated because as far as we know it
8 was 1957 to 1987. So they sent it back to the St.
9 Petersburg office and denied the claim.

10 This has been in the press so we were looking at
11 it. And I was surprised, I went on the ATSDR website
12 and I saw where for Hadnot Point, this information
13 that it may have been possibly contaminated as early
14 as the late 1940s or the early 1950s, and I had not
15 known that before; I had not heard that before. But
16 on that basis we, we returned the claims file, because
17 we had the claim, to St. Petersburg, giving them our
18 opinion that it was possibly, at least as likely as
19 not, that he was exposed based on the information on
20 the ATSDR website; therefore, they didn't request a
21 medical opinion on that basis and sent it to one of
22 our SMEs. And I had not heard what the decision was.
23 The SME, I'm sure, did what they could do. They're
24 very up-to-date on everything. But there's no
25 information about that pre-1957.

1 **MR. ENSMINGER:** Nothing in concrete, no. I mean,
2 we need dates.

3 **MR. FLOHR:** Yeah.

4 **MR. ENSMINGER:** And this information, from what I
5 understand, is available now. That information is --
6 and we have a, a predecessor, a executive summary for
7 the Tarawa Terrace water system that got issued in
8 early June of 2007. The executive summary was
9 released in anticipation of the hearing that was held
10 on 12 June.

11 And the actual Chapter A for Tarawa Terrace was
12 not -- was subsequently released in late July. Why
13 can't we do that for Hadnot Point? What's wrong?

14 **MR. STALLARD:** Yes, Dr. Portier?

15 **DR. PORTIER:** Jerry, we're going to go over this
16 with Morris's talk. There are certain things we're
17 going to cover at that point, and this is one of them.

18 **MR. ENSMINGER:** I mean, but this information is
19 available. We got veterans out there who are life --
20 I mean life-ending diseases. These people are
21 terminal. And they need this information. I mean,
22 isn't that what the public health service does?

23 **DR. PORTIER:** So after your message to the Marine
24 Corps five weeks ago, we have been working diligently
25 to get this information to the VA. This morning we

1 have transmitted to the VA formally the information we
2 will show you when Morris gives his talk.

3 **MR. ENSMINGER:** Thanks.

4 **MR. STALLARD:** Any other questions for Brad?

5 **MR. TOWNSEND:** Yes. Yes, I have a question.

6 **MR. STALLARD:** All right, Tom.

7 **MR. TOWNSEND:** Tom Townsend in Moscow, Idaho.

8 This is a VA representative speaking, right?

9 **MR. STALLARD:** Yes.

10 **MR. TOWNSEND:** I would point out that I've had a
11 veteran's claim for six years for neuropathy. I've
12 had 16 neurological consults with a board certified
13 neurologist who indicated -- whose diagnoses have been
14 provided to the VA. My claim was not at Louisville
15 but it was at the Board of Veterans' Appeals, and I
16 have yet to have a physical exam by a VA-directed
17 neurologist. And I all of a sudden out of nowhere on
18 the second of this month I get a supplemental
19 statement of the case from Louisville VARO, VA
20 regional office, denying my claim. And then it has
21 with that a report by an unnamed physician that
22 alleges that I don't have any neuropathy, which is
23 very difficult to make me walk. And then it has a
24 diagnosis by a Vietnamese, Dr. Pham, P-h-a-m, who I've
25 never seen. So what's going on?

1 **MR. FLOHR:** I really can't answer that. I have
2 no idea. I don't know -- I've never seen the claim.
3 I don't know what stages it's in or where it's been.
4 So I really can't comment on individual circumstances,
5 but if you give me your information, I can perhaps
6 find out where it is and what's going on with it. If
7 you want to give me your name and claim number, I'll
8 check on it.

9 **MR. TOWNSEND:** First name is Thomas, Tom. Last
10 name is Townsend, T-o-w-n-s-e-n-d.

11 **MR. FLOHR:** Okay.

12 **MR. TOWNSEND:** The phone number is 208 --

13 **MR. FLOHR:** No, I need your claim number.

14 **MR. TOWNSEND:** 208-882 --

15 **MR. ENSMINGER:** That's his phone number.

16 **MR. FLOHR:** Go ahead.

17 **MR. TOWNSEND:** Wait. Do you want my phone
18 number?

19 **MR. STALLARD:** No, we want your claim number,
20 Tom.

21 **MR. TOWNSEND:** Oh.

22 **MR. STALLARD:** I mean, this is a public forum.
23 Is there some other way that we could transfer that
24 rather than in this public forum? I tell you what --

25 **MR. FLOHR:** Why don't you send your VA claim

1 number to Jerry, and he can then give it to me.

2 **DR. PORTIER:** I'll have my secretary call him and
3 get it and bring it to you today.

4 **MR. STALLARD:** Okay. Tom, we're going to call
5 you later today on a more private line and get your
6 claim number and deliver it to Mr. Flohr.

7 **MR. TOWNSEND:** Okay. Well, I'll put the phone
8 call in.

9 **MR. STALLARD:** You will expect a phone call,
10 which means that when we take a break, you're going to
11 have to disconnect so that we can call the number. Do
12 we have his number?

13 **MR. ENSMINGER:** Yeah, yeah.

14 **MR. STALLARD:** All right.

15 **MR. TOWNSEND:** Okay. I just wanted to make -- I
16 find it very unusual that all of a sudden my claim
17 shows up at Louisville and no one tells me it's down
18 there. Last time I heard it was in the Board of
19 Veterans' Appeals. And I wind up with inspections
20 with unnamed medical people and some comment by a
21 Vietnamese doctor with a Vietnamese name that I've
22 never seen or been seen by. I mean, and it, and it
23 just ignored completely 15 neurological consults, and
24 it never mentions the consults that I've provided to
25 the VA over the last five or six years.

1 **MR. STALLARD:** All right, Tom, well, it seems
2 like it's going to have to be sorted out on an
3 individual basis, and I'm sure that when we get your
4 claim number and Mr. Flohr looks at it, he may be able
5 to address the anomalies that you're raising.

6 **MR. TOWNSEND:** Well, the, the VA up in Boise,
7 Idaho has made contact with the VA in Louisville, and
8 yeah, okay. But I want to get this squared away; it's
9 been going on for six years. I'm 82 years old and
10 I've been shoveled off too damn long as far as I'm
11 concerned.

12 **MR. STALLARD:** And you're probably buried in snow
13 and it's 6:30 in the morning in Idaho, right?

14 **MR. TOWNSEND:** Yeah, right. There's plenty of
15 snow outside and it's 6:30 in the morning and it's
16 colder than hell. I'm back in bed.

17 **MR. STALLARD:** All right. We feel your plight.

18 **MR. TOWNSEND:** Okay. Thank you.

19 **MR. STALLARD:** Thank you, Tom.

20 **MR. PARTAIN:** Hey Brad, the numbers for the VA,
21 as far as claim stuff, you mentioned about an average
22 run a hundred a month. When was the commencement day
23 as far as the VA tracking and tallying numbers; when
24 did you start doing that?

25 **MR. FLOHR:** Well, when we consolidated the

1 process in Louisville.

2 **MR. PARTAIN:** Then last year?

3 **MR. FLOHR:** December -- no, that was December of
4 2010.

5 **MR. PARTAIN:** 2010? Okay. So did any claims --
6 did y'all, and I know we talked about it before, I
7 just want to make sure I'm straight, but did -- the
8 numbers that y'all have and been reporting to like the
9 Senate Veterans' Affairs Committee commence December
10 2010, and you didn't go back and comb through anything
11 to find prior numbers?

12 **MR. FLOHR:** Yeah, we did.

13 **MR. PARTAIN:** Okay.

14 **MR. FLOHR:** And then it was not -- it was not
15 something we could easily do.

16 **MR. PARTAIN:** Yeah, I understand.

17 **MR. FLOHR:** We've identified them but we did --
18 we were able to identify about 195 cases.

19 **MR. PARTAIN:** Prior to?

20 **MR. FLOHR:** That had been -- prior to
21 consolidation. I think I gave you the numbers before.
22 And there was well, 23 or 24 of those that had been
23 granted. That's all we were able to...

24 **MR. PARTAIN:** Okay. And specifically do you know
25 or are you able to provide an idea how many male

1 breast cancer cases have been granted with the VA to
2 date?

3 **MR. FLOHR:** Yeah.

4 **MR. PARTAIN:** Has it changed since last year?
5 Somewhere of five.

6 **MR. FLOHR:** As of the end of September of last
7 year, the end of FY '12, there have been 17 claims
8 granted.

9 **MR. PARTAIN:** For male breast cancer?

10 **MR. FLOHR:** For male breast cancer -- well, for
11 breast cancer, not necessarily male, but female, too.

12 **MR. PARTAIN:** Okay. There's no way to delineate
13 the two?

14 **MR. FLOHR:** Not at that time. We're trying to
15 get an identifier for that put into our systems.

16 **MR. PARTAIN:** Okay.

17 **MR. FLOHR:** That's it that I'm aware of.
18 Seventeen granted and 13 denies.

19 **MR. PARTAIN:** Okay. And do you have a total
20 number of VA cases that have been presented to the VA
21 for Camp Lejeune, to date?

22 **MR. FLOHR:** Again, as of the end of FY '12, there
23 have been 1,822 claims decided by the VA.

24 **MR. PARTAIN:** Decided? Okay.

25 **MR. FLOHR:** Decided.

1 **MR. PARTAIN:** Okay. Do you have a total number
2 of cases presented, including ones that are still in
3 consideration? And you said FY 2012; you're talking
4 October 1st, right?

5 **MR. FLOHR:** Right. We do not have the number of
6 claims pending.

7 **MR. PARTAIN:** And roughly of the 1,822, 25
8 percent approval rate? And now, are you guys tracking
9 the different types of cancer, kidney and what --

10 **MR. FLOHR:** Yes.

11 **MR. PARTAIN:** Okay. And --

12 **MR. FLOHR:** What we provided to Senator Burr's
13 staff and the last one was dated in September. That's
14 got a breakdown of the diseases. That's going to be a
15 new report going forward.

16 **MR. PARTAIN:** And the report being made, I know
17 it's being made to Senator Burr, but can we get a copy
18 of the report as the CAP, too, or is that something
19 that we --

20 **MR. FLOHR:** I would not see why that would not be
21 possible.

22 **MR. PARTAIN:** Okay. I would like to have that,
23 if possible. Thank you.

24 **MR. STALLARD:** Any other questions for Brad?
25 Okay, let's move into -- I do believe we have a

1 healthcare benefits update with Dr. Walters.

2 **DR. WALTERS:** Oh, sure.

3 **DR. DICK:** I've got a fact sheet that I'll hand
4 out as --

5 **DR. WALTERS:** Okay. Good morning, I have my Diet
6 Coke so I am at least publicly presentable. My name
7 is Dr. Terry Walters, and I am the deputy chief
8 consultant for environment post-deployment health.
9 And my purpose here today is to speak as the co-chair
10 of the VA task force that is implementing the section
11 102 of the Honoring American Veterans and Caring for
12 Camp Lejeune Families Act of 2012, the Janey Ensminger
13 law. So as I said, I am the co-chair along with the
14 chief of the business office, Ms. Katie Shebesh on the
15 implementing this law, which was passed on the 6th of
16 August 2012.

17 And first, what I'd like to do is I'd like to go
18 through my entire presentation, and if you could hold
19 your questions to the end 'cause there -- some of your
20 questions might be answered in later slides, and then
21 we can have a discussion.

22 First of all, I'm going to go over what this law,
23 as written, includes, and I have a copy here so we can
24 go to the exact language. It provides healthcare for
25 15 conditions for veterans and family members who

1 resided at Camp Lejeune for at least 30 days or more
2 between the dates of January 1, 1957 and December 31,
3 1987. The veterans who were on active duty and the
4 family members who had to reside on Camp Lejeune or
5 were in utero. And this is the list of the 15 medical
6 conditions.

7 The care provisions include: VA cannot provide
8 care for conditions found to have another cause. So
9 if a veteran or a family member had a broken bone
10 because of a car accident, we cannot provide care for
11 that because it obviously is not related to Camp
12 Lejeune. Family member care requires congressional
13 appropriation prior to VA giving care. Let me read
14 you the language: So the Secretary may only furnish
15 healthcare and medical services under subsection a, to
16 the extent and any amount provided in advance in
17 appropriations acts for such purpose. And to date
18 that appropriation has not been passed.

19 So what VHA is limited to right now is preparing
20 the grounds for when that appropriational act is
21 passed so we can hit the ground running like we did
22 for veterans. We started providing care for veterans,
23 Camp Lejeune veterans, the day the law was signed on
24 the 6th of August.

25 Also in the law the VA is the last payer. So if

1 the family -- and this is for family members, not
2 veterans. If a family member has Blue Shield/Blue
3 Cross, and they have breast cancer, Blue Cross will
4 pay for an episode of care, and anything that is not
5 covered, VA will pick up the rest of that cost. If
6 they don't have healthcare insurance, obviously VA is
7 the entire payer for that care. But again, if that
8 family member has a broken leg, VA is not going to pay
9 for that care. So for them not to have healthcare
10 insurance exposes them to risk for everything else
11 that isn't one of those 15 conditions.

12 The act also requires VA to provide annual
13 reports to Congress on the following conditions: The
14 numbers seeking care, broken down between VA and
15 veterans; the number of medical conditions for which
16 care is sought; the number denied care; and the number
17 awaiting termination of status.

18 In response to the act, VA began responding by
19 providing care to Camp Lejeune veterans the day the
20 act was signed, on the 6th of August. And we also
21 instituted a tracking mechanism on that day, according
22 to the CLEAR report, not to Camp Lejeune environmental
23 report. I think we kind of fit that up into that.
24 And to date, the total number of veteran inquiries has
25 been 1,429, and this as of last Friday, and the total

1 family member inquiries up 291.

2 So the VA initial implementation steps, as I told
3 you, we started providing care to veterans on the 6th
4 of August. We created a mechanism to track requests
5 for care by veterans and family members, called the
6 CLEAR report. We updated our web page, placed a
7 banner on all the ^, these are the large area VA
8 providers, alerting people to this new law. We
9 created an implementation task force, of which I am a
10 co-chair, Katie Shebesh is the other co-chair, and
11 because of this inability to provide care to family
12 members right away, we were very concerned that if a
13 family member comes to a VA medical center saying,
14 hey, I have breast cancer and I need care today, we
15 are legally prohibited from providing care but we're
16 not legally prohibited from helping that family member
17 find other sources of care. So we have created a
18 mechanism by which the eligibility clerk says, I can
19 refer you to our care coordinators, which within the
20 VA are the social work services, to find other sources
21 of care. And for some of these cancers, particularly
22 the gynecological cancers and breast cancer, there are
23 federal and state programs out there which provide
24 care, and it varies by state. But the care
25 coordinators in the social work care services know

1 about these services, and they've also researched
2 these so we can help these people find these sources
3 of care.

4 The vision of the task force is to implement the
5 Caring for Camp Lejeune Families Act using an
6 interdisciplinary team from across the VA to provide
7 healthcare to Camp Lejeune veterans and their family
8 members as quickly as possible. And this requires not
9 only doctors and nurses, it requires fundamental
10 changes in business processes, information technology
11 processes, because VA does not routinely provide
12 healthcare to family members.

13 And the purpose is to develop and implement
14 policy system and process changes so we can provide
15 high-quality healthcare to all eligible Camp Lejeune
16 veterans and their family members, as specified in
17 this act.

18 Our guiding principles are to be, and these are
19 followed from VA principles, are to be people-centric,
20 make sure that implementation is fair, simple and easy
21 as possible, improve the accessibility of healthcare
22 for Camp Lejeune veterans and their family members,
23 and most of all decrease the hassle factor for all.

24 Now, this is healthcare; this is not
25 compensation. And a lot of people make that mistake

1 in assuming it's compensation. VBA is a separate
2 administration within the VA. We are Veterans' Health
3 Administration. We need to be timing- and results-
4 driven; needs to be a transparent process with regular
5 updates, all involve stake-holders. That's the reason
6 I'm here today is to provide you an update of where we
7 are and to be transparent in the process.

8 Be adapted to previous lessons learned. About a
9 year and a half ago there was legislation passed
10 called the Caregivers' Act. And it again provided
11 some care and mostly remuneration(sic) to caregivers
12 of seriously injured combat veterans. And so there
13 were many lessons to be learned in writing
14 regulations, in changing the information technology
15 systems that we're trying to use in implementing this
16 very complex process. It sounds simple; the devil is
17 in the details. And we want to be forward-looking and
18 implement efficiently and effectively towards other --
19 so that we can be ready when the appropriation is
20 passed.

21 So these are the implementation stages: Enroll
22 veterans, assemble a task force, identify key issues,
23 gather information, define processes, develop a six-
24 month plan, obtain legal opinions, define key
25 elements, assemble resources to implement family

1 member programs. Those of you in the six-paragraph
2 operations order in the military will recognize many
3 of these steps. Draft veteran and family member
4 implementation regulations, and we're right there at
5 this point. This is where we are in this process:
6 Implement family member care upon appropriate
7 approval. Outreach to family members, which I'm going
8 to need your help in, which I would like to discuss.
9 And annual reports and program review.

10 So what we've accomplished so far, we've
11 assembled a task force; we have a method to track
12 inquiries; we have our legal opinions on enrolling
13 veterans program for family member care, screening,
14 and I will go over these; we've defined important
15 processes such as verification of clinical
16 eligibility, administrative eligibility; we've defined
17 important terms, because what does Camp Lejeune mean?
18 Does it mean everything that has the Camp Lejeune
19 label or does it mean the contiguous geographic area?
20 Does it mean the air base? So we have -- and when we
21 write regulations, we have to be very, very explicit
22 because all our regulations, one of the slow-downs in
23 the regulation process is that they have to go out and
24 get public comments. They have to be published in the
25 federal register. They have to go through the OPM,

1 all these legal steps, which are beyond the VA. So we
2 have to be very specific in our regulations.

3 Outreach. I have talked to congressional
4 representatives; I have talked on the phone to Mr.
5 Ensminger; I've talked to veterans' service
6 organizations, and today part of the outreach is
7 talking to you, the CAP. We've identified with the
8 Marine Corps critical sources of information, for
9 example, the Marine Corps is digitalizing all the
10 housing records. Because there is not a --

11 **MR. ENSMINGER:** Really?

12 **DR. WALTERS:** Yeah, really.

13 **MR. ENSMINGER:** Since when?

14 **DR. WALTERS:** Since two months ago. So they're
15 scanning all those cards and putting in optical
16 character recognition.

17 **MR. ENSMINGER:** Well, there was a bunch of those
18 cards missing.

19 **MS. RUCKART:** Yeah, from our records, not from
20 the source.

21 **MR. ENSMINGER:** Oh, really? Oh, but they found
22 them now so --

23 **DR. WALTERS:** Any source of information is going
24 to be incomplete. We have to get, you know, what we
25 can.

1 **MS. RUCKART:** But the Marines' records were never
2 incomplete. We took 90,000 records from the
3 beginning, well, all the housing records that were
4 there in the 90s, whenever this effort took place, and
5 then at some point during a move or something, ATSDR
6 lost a box. The source records were never in a box.
7 But we didn't know what records were lost and try to
8 work with them to get them. That was the whole thing
9 right there.

10 **DR. WALTERS:** So things like the housing records
11 are being digitalized; we're trying to use lessons
12 learned from the atomic veterans and how those
13 veterans were identified, to try and define a process
14 by which VA can verify that a family member or an
15 active duty service member was at Camp Lejeune during
16 these dates. Ultimately I believe that it's going to
17 be DoD's responsibility to do that initial step of
18 administrative eligibility, because simply VA does not
19 have access to those records.

20 We have a draft definition of what those 15
21 medical conditions comprise. And you would think the
22 defining medical lists is easy but it isn't because,
23 as you well know, you can have leukemia and have high
24 blood pressure or vomiting or diarrhea but that counts
25 as secondary to your treatment for leukemia. So a

1 person may come in for vomiting and diarrhea and we're
2 going to have to relate it, if possible, to that
3 diagnosis. And so that includes the whole gamut of
4 medical conditions. Again, as I said before, if
5 someone comes in with a broken leg, that could or
6 could not be related to their primary condition. If
7 it's a metastatic lesion, causing a bone breakage,
8 then it is related. If it's secondary or car
9 accident, it isn't related.

10 So there has to be, in every episode of care, a
11 medical decision: Is this related to those 15
12 conditions? Yes or no. Because if it's not, VA is
13 legally prohibited, for family members, for providing
14 care. And there's slight differences between veterans
15 and family members. And I'll get into that with the
16 next slide.

17 We've identified additional resources. There's a
18 funding estimate, because to make the change in the VA
19 system to flag veterans when they come in, so they
20 don't get charged a co-pay, it's going to take about
21 five million dollars and 18 months. So right now we
22 have to do a manual process. So again, the law sounds
23 simple but the devil is in the details. And that care
24 coordination process prior to the appropriations of
25 family members, that process was implemented.

1 So we have got several legal opinions. So care
2 for veterans, what we do for veterans is VA has eight
3 categories of priority. From category 1, you're a
4 combat-disabled veteran, missing a couple of limbs,
5 clearly you are our priority, category 1. To category
6 8, you make over an income threshold, say you make
7 \$200,000 a year, you're a veteran, right now you
8 cannot -- and you don't have a service-connected
9 disability, right now you do not get care within the
10 VA because the VA has limited space to take care of
11 veterans.

12 So there is a priority 6, which means that you --
13 other veterans, like with Agent Orange, Gulf War
14 veterans, atomic veterans and now Camp Lejeune
15 veterans are in this priority 6 category. So care for
16 those 15 conditions, no -- care is free, no campaign
17 will be required.

18 So if you have a veteran coming in, say they have
19 breast cancer and they have diabetes. So the breast
20 cancer will be -- for everything associated with the
21 care of that breast cancer will not need co-pay. But
22 now they will be eligible for the entire package of
23 care, because veterans, we enroll veterans, we provide
24 all care for veterans. So for their care for, say,
25 their diabetes, which is service-connected but not

1 connected to Camp Lejeune, they will be charged a
2 minimal co-pay. Okay, but they will still be provided
3 care, and it is a good deal. But prior to that, if
4 this person was a category 8, they wouldn't have been
5 able to enroll in VA at all -- VHA at all. So this
6 law gets the veteran in the door eligible for care.

7 On the family member side, we are -- we're in a
8 policy decision pending as to how to provide care to
9 family members. And there are three basic choices:
10 One, we reimburse care that is provided to the family
11 member. Say they go to their local clinician who
12 they've been seeing, and the bill, then, goes to the
13 insurance company, Blue Cross/Blue Shield, and then it
14 goes through a central office in VA to provide -- to
15 reimburse the remainder. Or if they don't have
16 insurance, the entire bill goes for that -- those 15
17 conditions to that central office. That's one model.

18 A second model could be that the family member
19 receives their care within the VA for only those 15
20 conditions, okay. And then a third model could be a
21 hybrid of those two, some care is within the VA, some
22 care is with reimbursement of their private physician.
23 So right now we're engaged in a policy decision as to
24 what is best for continuity of care; what is best for
25 quality healthcare; what is easiest to actually

1 implement; and what is the least hassle for the
2 beneficiary.

3 The other policy decision was on screening. So,
4 what if you are a family member or veteran and you're
5 concerned about your exposure; you're concerned that
6 you may have, say, leukemia. How do we -- but we
7 don't want people paying for a screening exam to prove
8 that they have a disease. So we made a decision,
9 policy decision, that all screening for these 15
10 conditions, of which the only test that is on a
11 regular basis is a mammogram for breast cancer, we
12 will pay for a screening examination, family member or
13 veteran, okay. So if you are a family member, once
14 the appropriation is passed, once regulations are
15 written, we will pay for family members to get a visit
16 with their doctor and a mammogram, and any indicated
17 blood tests.

18 So say you come in, you have fatigue, you're
19 coughing blood, well, then, we're going to go down
20 that clinical pathway to see if you have lung cancer
21 or why you have coughing blood, hemoptysis. So again,
22 we're trying to decrease the hassle factor. We wanted
23 to get some determination specific covered medical
24 conditions, and there are several conditions that are
25 somewhat, they're not accepted routine medical

1 diagnosis. One of them is neural behavioral effect.
2 What does that mean? That's a research term.
3 Basically when this law was written, they took those
4 15 conditions out of the NCR report.

5 **MR. ENSMINGER:** NRC.

6 **DR. WALTERS:** NRC report, excuse me. But neural
7 behavioral effects is a research term. What does that
8 mean clinically? So we're trying to come to a
9 decision as to what that exactly means in terms of a
10 disease or a diagnosis.

11 I talked about screening, regular updates, that's
12 why I'm here. We have to develop and disseminate
13 educational materials. And the two groups that we
14 need to educate are the providing physicians: What
15 does exposure to Camp Lejeune contaminated water mean
16 for your patient that's sitting before you today? We
17 also have to have a mechanism by -- you know, 'cause
18 all you, you know, Camp Lejeune people don't come in
19 with a C-L on their forehead. How can we identify
20 people if they don't self-identify? And we have to
21 educate clinicians seeing the patient, and that's both
22 within the VA and outside of the VA.

23 So a family member goes to -- say we decide to
24 reimburse family members, to reimburse their regular
25 physician, excuse me. We want that physician to know

1 exactly what exposure Camp Lejeune contaminated water
2 means to that patient's health and what their coverage
3 is, that VA is going to reimburse for those 15
4 conditions. So we have to inform or educate civilian
5 clinicians, VA clinicians, and we also have to educate
6 all the affected family members and Camp Lejeune
7 veterans. So that is quite an educational effort.
8 And how I would see this going for family members is
9 family members apply; we determine that they were --
10 DoD determines they were at Camp Lejeune; we determine
11 they have one of these 15 conditions; and we send them
12 a package going, you know, -- a pamphlet: Here's
13 what's covered; here's how to make a claim; here's
14 where to send the bill; those kind of efforts. But
15 again, we're still at the start of this is actually
16 determining the processes.

17 And we have to write regulation. These are
18 mandated by Congress. Whenever we make a change to
19 providing care, we have to write regulations.
20 Obviously providing care to family members is a huge
21 change to us. We're going to have to write a set of
22 regulations for family members, and we have to write a
23 set of regulations for veterans, and it covers all
24 these things I've talked about: the screening, the
25 fact they're category 6, that there will be co-pay or

1 no co-pay, what's covered, what does Camp Lejeune
2 mean. That all has to be in that regulation.

3 We've written the veteran regulation and that's
4 in coordination, and what will happen is, then, that
5 will go through various and sundry legal hoops. It
6 has to be in the federal register for 90 days; there
7 has to be a public comment period. We have to reply
8 to every single comment. Then it goes back, there's
9 another set of legal reviews. Bottom line, this takes
10 an incredibly long period of time. It can be
11 expedited to the amazing snail's pace of six months or
12 usually it takes two years.

13 And we cannot provide care to family members
14 until there is published regulations. So in like the
15 Caregivers' Act, they fell in this -- the
16 appropriation was with the law, and we weren't
17 providing care to the caregivers because we couldn't
18 pump out a regulation quick enough. Well, they did it
19 in eight months. But that's with everything pushing.
20 Right now there is nothing pushing because we don't
21 have an appropriation. But I pledge to you we are
22 pushing -- we've got most the family member reg
23 written, just a few holes here and there because of
24 policy determinations, but we will push this. And our
25 goal is to have a regulation out on the street before

1 an appropriation hits.

2 **MR. ENSMINGER:** The appropriation's supposed to
3 hit in March.

4 **DR. WALTERS:** Well, we're going to do our best.
5 But there are many processes beyond the VA that we
6 have no control over. So, you know, the legal, you
7 know, the legal review, they say they take three
8 months. They have 90 days to do it and they take
9 every single second of that 90 days. There's nothing
10 we can do to speed it up. And then a wait of
11 congressional appropriation, and then provide these
12 annual reports to Congress.

13 So what are the potential barriers and risks and
14 places where we could have problems? Well, the first
15 family members with serious illness may seek care
16 prior to congressional appropriation. We've tried to
17 ameliorate that as much as possible but our hands are
18 somewhat tied. There could be a perception that the
19 law is being unfairly applied between veterans and
20 family members because veterans get the entire VA
21 benefit. But they're veterans with the VA. That's
22 what we do. Family members will only get probably
23 reimbursed for care for those 15 conditions.
24 Congressional appropriation may well occur before
25 implemental regulations are published, and we're

1 trying our best to minimize that but that is a
2 reality.

3 And there is also the perception that this also
4 covers VBA compensation. We, again, this is not
5 compensation; it's healthcare. As you saw with this
6 case of the gentleman who had breast cancer from 1956,
7 everybody's going, well, doesn't the law cover it?
8 No. We have to implement the law as written. So what
9 happens when it comes out that there was exposure
10 before 1956? Are we going to provide healthcare?
11 Well, not under -- the way the law is written now.
12 It'll have to be amended.

13 **MR. ENSMINGER:** Well, the law's going to be
14 amended because of the new information --

15 **DR. WALTERS:** So, so again, we, we are here to
16 implement the law as fairly as we can. And I'm open
17 to your questions.

18 **MR. PARTAIN:** Dr. Walters, first of all, thanks.
19 I'm a little surprised at some of the things I'm
20 hearing. I do like the screening 'cause that is one
21 of the most frequent questions we get from our members
22 with the website, is where do you go for screening.

23 **DR. WALTERS:** Well, there's no other way of doing
24 it fairly.

25 **MR. PARTAIN:** Well, just the fact that y'all are

1 going to do that. 'Cause we do get a lot of people
2 asking about it and I'm sure once we get the
3 appropriations, it'll be a good thing.

4 **DR. WALTERS:** Now, I will tell you, you know,
5 screening is a widely misunderstood term. I mean,
6 there's no blood test there to say: Yep, you were
7 exposed, you weren't exposed, as you well know. And
8 for these 15 medical conditions, there's, you know,
9 screening is a big issue. I'm sure you've seen the
10 talk: Should you get a mammogram? Should you get a
11 PSA? All these things, it's very, very controversial.
12 There's a thing called the United States preventive
13 task force in screening, and right now, for these 15
14 conditions, the only test that should be done is a
15 biannual mammogram between the ages of 45 -- excuse
16 me, 50 and 74. Now, so if you have someone who's
17 completely asymptomatic and no symptoms at all and
18 female, the screening is a mammogram as appropriate by
19 age and when your last mammogram is, and a good
20 history. A blood test, doing a complete blood count
21 in the absence of any symptoms, really, is not
22 medically indicated. It's the symptoms that drive the
23 future work-up. Okay?

24 Now so the question is, you know, you've got
25 someone who smokes two packs a day. The question

1 always comes to me, you know, I'm an internal medicine
2 specialist: Well, shouldn't you do an x-ray every
3 year? No, because that hasn't been shown in clinical
4 trials to increase your chances of detecting that lung
5 cancer. Now if they come in and they're coughing
6 blood, you forget the chest x-ray, I'm going to go
7 straight to a CAT-scan. Okay.

8 **MR. PARTAIN:** So if they're symptomatic with the
9 disease --

10 **DR. WALTERS:** If they're symptomatic, then you
11 follow -- you go with what the clinical guidelines
12 would say.

13 **MR. PARTAIN:** Like for example if I show up -- if
14 I was 39 and the bill was passed and funded, and I
15 show up as a male with a lump in my chest, they're
16 going to do the screening? They're not going to --

17 **DR. WALTERS:** Well, no, if you see that there's a
18 lump in your chest, you're past the screening stage.
19 Then there's a diagnostic work-up. And if you came
20 in, as a 39-year-old and had no symptomatology(sic),
21 would I do a mammogram on you? No. Because --

22 **MR. PARTAIN:** It doesn't make sense.

23 **DR. WALTERS:** It doesn't make sense. So
24 screening is widely misunderstood because, you know,
25 screening includes a good history to elicit symptoms.

1 But just listening to your chest, you know, the laying
2 on of hands and doing blood tests is not clinically
3 indicated. That's not screening.

4 **MR. PARTAIN:** Okay. Well, one thing I do -- when
5 you talk about the devil in the details, and that was
6 one of the other things I was pleased with, concerning
7 the payer of last resort, with insurance. Like, for
8 example myself, I do have health insurance with my
9 employer. When you mention they pay first, and being
10 a claims adjustor, I completely understand how that
11 works. And hence my question here. Once the funding
12 is passed, like I have my health insurance, I go to my
13 provider, are you, for the families and everything,
14 you know, for example, I have a deductible. My
15 insurance pays my claim but I do have a deductible I
16 have to meet. Will the VA look at that deductible?

17 **DR. WALTERS:** Again, prior to policy it would be
18 my assumption that we pay that deductible because
19 that's a cost to you associated --

20 **MR. PARTAIN:** With Camp Lejeune.

21 **DR. WALTERS:** With Camp Lejeune.

22 **MR. PARTAIN:** And 'cause --

23 **DR. WALTERS:** But don't hold me to that. I mean,
24 that's going to have to get a legal review and all
25 that but that would seem that that was the intent of

1 this law, is that you were made whole, that's a legal
2 term, as whole as can be. And so, you know, we should
3 pay your costs.

4 **MR. PARTAIN:** Yeah. And as I just said, I work
5 as a claims adjuster so I deal with a lot of that.

6 **DR. WALTERS:** So if you work as a claims adjuster
7 you understand what the policy decision we're faced
8 with right now is: Are we an insuring function or are
9 we a healthcare providing function? 'Cause if we
10 provide healthcare within the VA, we're a healthcare
11 provider function. And one of the reasons why I
12 leaned, and this is not my decision to make -- I only
13 make the choice -- I only may present the alternatives
14 -- if we say that family members have to get care
15 within the VA, and you live, you know, 500 miles away
16 from a VA medical center, that's not good for you.
17 Even if you lived right next door, and you said you
18 had breast cancer and diabetes, well, you'd go to the
19 VA for your breast cancer care but your diabetic care
20 could not be provided by the VA; you'd have to have a
21 civilian provider.

22 So that would be fracturing care, which is not
23 good quality care, because then you have to make sure
24 that the, you know, the person taking care of your
25 breast cancer talks to the person who's, you know,

1 who's providing diabetic care. So we don't want to --
2 we want to insure continuity of care. And for a
3 family member, you know, if you only have -- if the
4 clinic only -- say the oncology clinic only has 100
5 appointments a month, and you suddenly -- or your
6 family member suddenly has an emergency, you have to
7 see that oncologist, I'm not sure you can -- you
8 should bump veterans from their appointments to fit
9 someone in, because again, the VA is here to provide
10 care -- and needs to provide care to veterans.

11 So, you know, I think what we're going to go with
12 is the insurer function, where you pick your doctor
13 and we pay your bills. 'Cause that's probably the
14 least hassle. There's no -- there's transportation.
15 It will be easier for the VA to implement. We have
16 similar programs, somewhat similar, for children of
17 Vietnam veterans, who were exposed to Agent Orange,
18 for spina bifida, we pay their bills. So this is --
19 we have some similar programs, and we can build on
20 those programs.

21 We have an office in Colorado who could do this
22 claims adjustor process. But there's going to be a
23 couple of -- so we're going to have to determine,
24 administer the eligibility: Were you there? And then
25 do you have one of these 15 conditions or is this

1 episode of care associated with one of these 15
2 conditions? So there's going to have to be a clinical
3 assessment on every episode of care: Is this related
4 to one of these 15 conditions? Yes or no. And then
5 we pay the bill.

6 **MR. ENSMINGER:** Have you provided this briefing
7 to the Senate Veterans' Affairs Committee?

8 **DR. WALTERS:** Yes, I have.

9 **MR. ENSMINGER:** When?

10 **DR. WALTERS:** When I talked to you, I fleshed it
11 out since I talked to you because we're further along
12 in the process. But I think, yeah, it was in
13 September.

14 **MR. ENSMINGER:** Are you planning on giving them
15 another brief as to the --

16 **DR. WALTERS:** Not at this time. I think we need
17 to be a little bit further along, make the
18 determination of how we'll provide family care. But
19 I'm willing to do it at any time.

20 **MR. ENSMINGER:** Okay. Because the appropriations
21 are coming. I mean, I know that. They're going to be
22 made in March, from what I understand, for the family
23 healthcare. And we got to have some rules in place so
24 that this can be implemented.

25 **DR. WALTERS:** Yep. But as I said, the regulation

1 writing process is really long, sir. And that's
2 authored by Congress.

3 **MR. ENSMINGER:** You got my daughter's name wrong
4 on your slide.

5 **DR. WALTERS:** I'm sorry. How do I have it wrong?

6 **MR. ENSMINGER:** Her name is Janey, J-a-n-e-y.

7 **DR. WALTERS:** J-a- --

8 **MR. ENSMINGER:** N-e-y.

9 **DR. WALTERS:** I apologize.

10 **MR. ENSMINGER:** That's fine.

11 **DR. WALTERS:** No, I really do 'cause that's
12 important. I apologize.

13 **MR. PARTAIN:** Going back to some of the
14 questions, what about secondary conditions that arise
15 as treatment? For example, I'm going in treating for
16 cancer, I have chemotherapy, and then there are
17 resulting health conditions that come out of that.
18 The primary condition is covered by Camp Lejeune, but
19 like, for example, diabetes, I go in -- I have cancer,
20 go and get treatment, and then develop diabetes during
21 the course of chemotherapy.

22 **DR. WALTERS:** Well, usually, again, a medical
23 decision will have to be made. Usually diabetes is
24 not secondary to chemotherapy.

25 **MR. PARTAIN:** Okay. Well, I was just using that

1 --

2 **DR. WALTERS:** Yeah, I understand you're using
3 that. But neuropathy is or, you know, problems with,
4 you know, neomycin in the lungs. So, you know, if
5 it's secondary to treatment for chemotherapy, which
6 is, you know, can be very, very deleterious to your
7 health, yes, you should be covered.

8 **MR. PARTAIN:** Okay. And what about chronic
9 conditions, like for example, you know, 'cause, you
10 know, cancer's not just a said-and-done thing; it's
11 something that progresses over time and you --

12 **DR. WALTERS:** Well, hopefully it doesn't progress
13 over time.

14 **MR. PARTAIN:** Well, I mean, progressive over
15 time. For example you're cancer-free but you're still
16 going for maintenance check-ups and maintenance
17 reviews and things like that.

18 **DR. WALTERS:** Well, that should be covered 'cause
19 it's secondary to that disease.

20 **MR. PARTAIN:** Okay. And then, you know,
21 understanding everything's contingent upon funding
22 with Congress and everything, people calling in now,
23 and I appreciate the sheet -- we're going to get this
24 up on our website in the next day or so.

25 **DR. WALTERS:** And it's up on the VA website.

1 **MR. PARTAIN:** I want to get that out to our
2 members but, you know, we get a lot of people asking:
3 Who do I call? What do I do? Say, I call in now and
4 get myself on there. When the funding is appropriated
5 is the VA going to --

6 **DR. WALTERS:** And the regulations are published.

7 **MR. PARTAIN:** -- and the regulations are
8 published, is the VA going to, then, contact and let
9 me know?

10 **DR. WALTERS:** Well, and that's what I, I'd like
11 to talk to you about because obviously the CAP members
12 have a lot of contacts. There's the Marine Corps
13 registry website. We've talked to the Marine Corps
14 about our idea is to, once all this occurs -- and
15 while we haven't done it right now, is to send a
16 letter to family members going: Hey, you know, we're
17 open for business and this is where you send your
18 claim or your request for care. You know, I think a
19 letter will be good, obviously using social media,
20 Facebook, website, but I think an active outreach
21 would be a very good thing.

22 **MR. PARTAIN:** Well, an active outreach, not to
23 interrupt you, but an excellent active outreach would
24 be for the VA to send this little flyer with a request
25 to the Marine Corps to disseminate to their, what,

1 200,000-plus registrants that they have in the Camp
2 Lejeune registry.

3 **DR. WALTERS:** We're willing to do that.

4 **MR. PARTAIN:** Okay. I think the CAP would like
5 to request that that be done as soon as possible,
6 'cause that is something we get a lot of questions on,
7 and it'd be nice to have people get that type of
8 thing.

9 **DR. WALTERS:** Well, if you could do me the favor
10 of looking at this fact sheet and going: Is it
11 complete enough? Does it cover the bases, the
12 questions you're getting? Do we need to add more
13 information to it? We'd be more than willing to do
14 that.

15 **MR. PARTAIN:** Sure. We'll do that.

16 **MS. BLAKELY:** I have a question.

17 **DR. WALTERS:** And I'll speak to the Marine Corps,
18 you know, if they're willing to --

19 **MR. PARTAIN:** Well, if you could do your part and
20 send the letter and the brochure, we'll make sure that
21 -- we'll make enough waves.

22 **DR. WALTERS:** I've talked to the Marine Corps,
23 and they -- 'cause we want to get that list. And they
24 said that they are updating it and scrubbing it and
25 improving it. But the Marine Corps, the DoD and VA

1 are working hand-in-hand with this. I'm also a co-
2 chair of the point^ health working group, which is a
3 group that does this on a regular basis.

4 **MR. PARTAIN:** Well, the Marine Corps is, you
5 know, serious about their decorations for the health,
6 safety and welfare of the Marines and their families,
7 and I think this would be part of it here, so...

8 **DR. WALTERS:** We can give you -- as I said it is
9 on our website. We can give you the website address
10 today.

11 **MR. PARTAIN:** Okay. And just to make it clear,
12 you know, one thing I would not think would be very
13 feasible would be just to put this on the Marine
14 Corps's website for Camp Lejeune as a link or
15 something like that.

16 **DR. WALTERS:** We've already done that.

17 **MR. PARTAIN:** That's why I'm saying, you know,
18 something like this needs to be disseminated out
19 rather than just put on a static link. And before we
20 go, if I can get your cards because I lost -- I moved
21 and my stuff is packed up and I've lost your emails
22 address. And Brad, you too.

23 **MR. STALLARD:** Before we get to Mary, let me just
24 be clear on what I think I heard here. You're willing
25 to share that with the Marine Corps but you've asked

1 that the CAP look at that fact sheet and say --

2 **DR. WALTERS:** Give us --

3 **MR. STALLARD:** Give us some feedback on it.

4 **DR. WALTERS:** -- some feedback on it. You know,
5 I'm telling you we don't have all the decisions made
6 yet and all the policy decisions but we want to be as
7 transparent as possible, and we want to answer as many
8 questions as possible. So does that do that or have
9 we missed the boat on something?

10 **MR. STALLARD:** So the action is CAP to review
11 that and provide feedback before Dr. Walters --

12 **MR. PARTAIN:** And we'll have the feedback to you
13 next week.

14 **MS. BLAKELY:** My question might pertain to that.

15 **MR. ENSMINGER:** That the Marine Corps is
16 concerned about the health, safety and welfare of
17 their people is evidenced by the number of people they
18 have represented at these meetings, zero. There's not
19 one person here from the United States Marine Corps.

20 **MR. PARTAIN:** And they've been gone for quite a
21 while.

22 **MR. STALLARD:** We have not had their
23 participation here for some time.

24 **MR. PARTAIN:** And just for the record -- I'm
25 sorry, Mary, I'm getting back to you. Just for the

1 record I'm, since Jerry brought that point up, and
2 this is an excellent point here, with the Marine Corps
3 not being present at these meetings, with the VA
4 talking, we have something that's critical to the
5 Marines and their families here, right in front of us,
6 and it'd be nice to have some type of feedback from
7 the Marine Corps or at least be able to bounce some
8 ideas off them. But, what, roughly a year and a half,
9 two years ago, they declared that they felt that their
10 presence here was more of a detractor to what is
11 supposed to be going on at these meetings and removed
12 themselves from our meetings. This is, you know, like
13 Jerry said, here we are, this is a demonstration of
14 their true concern for the health, safety and welfare
15 of the Marines and their families.

16 **MR. STALLARD:** Thank you for that, and Mary,
17 before we move on, it was two years ago that we wanted
18 the VA to be part of this --

19 **MR. PARTAIN:** And they've been here. Thank you
20 guys.

21 **MR. STALLARD:** And this is a tremendous turn of
22 event in that regard.

23 **MR. MARKWITH:** Can I just add something to what
24 was just stated?

25 **MR. STALLARD:** I feel like I've been shutting

1 Mary down here.

2 **MS. BLAKELY:** And you all know I might lose my
3 track of thought.

4 **MR. STALLARD:** Yeah, so bring it on.

5 **MS. BLAKELY:** My question is about the neural
6 behavioral effects. If it's so hard for people like
7 Tom, you know, our CAP member on the phone? For him
8 to make his way through getting analysis for his
9 medical problems and help with his problems, what kind
10 of problems are family members going to have that are
11 uneducated and suffered these neural behavioral
12 effects of the water going to have dealing with the
13 red tape? What kind of sources are they going to have
14 to go to to get help with that? Because they won't be
15 able to do it.

16 **DR. WALTERS:** Okay. First of all, the gentleman
17 on the phone, Tom, I don't know his last name, was
18 talking about VBA claims. This is healthcare so it's
19 completely different. And the family member will
20 hopefully get a package in the mail explaining to
21 them, hopefully they have someone -- assistance in
22 understanding what we're sending them.

23 They can go to the health eligibility clerk in
24 any VA medical center or clinic, and part of our
25 education process will be educating those health

1 eligibility clerks: Here, this is where you need to
2 apply for this, this and this. This isn't
3 compensation; it is healthcare.

4 **MS. BLAKELY:** Okay, well --

5 **DR. WALTERS:** So, if you were there, you have one
6 of these conditions, you are eligible for healthcare.
7 It is completely independent from the claims process.

8 **MS. BLAKELY:** And that's why the outreach, the
9 information shared will be so important. And we need
10 to be able to share it like more publicly.

11 **DR. WALTERS:** Yeah, and that's why we brought
12 this fact sheet today. Part of our concern with being
13 very aggressive on outreach right now is this problem
14 with the regulations and the appropriation. We were
15 kind of holding back until we thought we were almost
16 ready to provide care. Because I don't want to raise
17 people's expectations and dash them because, you know,
18 we are mired in this, frankly, bureaucratic process,
19 of which we have no control.

20 We can make sure that we get the written word,
21 you know, the draft regulation in, but after that, we
22 have very little control. You know, once it goes
23 through the legal process and the federal register
24 process, I mean, it's mind-numbing. You know, 'cause
25 my initial reaction was unprintable, unprintable.

1 What do you mean it's going to take two years? This
2 is, you know, 'cause I mean we want to provide the
3 care. We have been told by Congress to provide the
4 care. Once we're given the money, we want to provide
5 care.

6 **MS. BLAKELY:** And that's why it's important for
7 the VA, or whoever's in charge, to realize that the
8 population you're dealing with. Many, you know,
9 civilians aren't veterans, and they don't have the
10 resources or the knowledge that veterans have. People
11 like me, you know, don't know the way to go or who to
12 call or even to get the information to them.

13 **DR. WALTERS:** Yeah and part of what we're trying
14 to do with this task force is make this as easy as --
15 and this you're going to laugh -- as health insurance.
16 'Cause that's what we're going to be, a health insurer
17 for family members. And again design the process so
18 it is as hassle-free as possible. But that is
19 difficult. You're dealing with the U.S. government
20 here.

21 **MR. STALLARD:** Thank you. Thank you. Let's hear
22 from Glenn before we go to break, please.

23 **MR. TOWNSEND:** Can I come back in?

24 **MR. STALLARD:** Yeah, Tom, we'll bring you back in
25 in just a moment, okay? Hold on.

1 **MR. TOWNSEND:** Thank you.

2 **MR. MARKWITH:** I just wanted to reiterate my role
3 at the meeting. Even though I'm from the Navy/Marine
4 Corps public health center, I work for the Navy, the
5 reason I write so much is I take all this information
6 back to Camp Lejeune. I'm an information conduit to
7 take the information back so that they can best decide
8 how to assist in the mission of the CAP. I can assure
9 that they are vested in this process and that they are
10 interested in doing whatever they can to support the
11 issues here of the CAP. And that's why I'm writing
12 all these notes down. One of the first things I will
13 be asking them is a question about utilizing the
14 registry for getting that information out there. That
15 certainly makes very good sense.

16 **DR. WALTERS:** I've talked to Scott Williams, and,
17 you know, he's the person who is digitalizing the
18 records. But, you know, a big decision is going to be
19 is who is responsible for administrative eligibility?
20 Were you there?

21 **MR. MARKWITH:** Absolutely.

22 **DR. WALTERS:** And that is going to take people
23 and money and resources. For atomic veterans, so you
24 had people in Hiroshima, Nagasaki, obviously a long
25 time ago, people who were at tests like Bikini Atoll.

1 So VA has to provide a level of care and compensation
2 to atomic veterans. So we have this problem of we get
3 a veteran in, you know, say veteran Schmidlap --
4 Schmidlap is my name for everybody by the way -- and I
5 was at Bikini Atoll on the 6th of August 1967. Does
6 he have a piece of paper that says that? No. I mean,
7 where's the documentation? It could be anybody.

8 **MR. ENSMINGER:** Just put a Geiger counter on his
9 ass.

10 **DR. WALTERS:** Well, no, that doesn't work. 1962,
11 you can tell how much I know about atomic testing. So
12 what happens is they go to an agency within the OD,
13 who has a team of researchers in Reston, has people at
14 the National Record Center who actually pull the
15 records and they do research: Was this person at Camp
16 Lejeune? Take a family member, how are we going to
17 know if a family member -- a kid. So wife, we may
18 know, a kid was at Camp Lejeune in 1958. And that's
19 54 years ago.

20 **MR. ENSMINGER:** But every person's service record
21 book had a dependents' page in it, okay? Which showed
22 the name of each and every dependent, legitimate
23 dependent, that that service member had. Their birth
24 date and their address.

25 **DR. WALTERS:** Yeah. So we're going to ask the

1 DoD to provide that to us.

2 **MR. ENSMINGER:** And I mean it's in the record.

3 **DR. WALTERS:** Yeah.

4 **MR. ENSMINGER:** And they just don't want to go
5 look.

6 **DR. WALTERS:** Well, because it's going to be
7 difficult and require people and resources.

8 **MR. ENSMINGER:** Oh, my God. Look at that.

9 **DR. WALTERS:** I'm telling you.

10 **MR. ENSMINGER:** It's tough.

11 **DR. WALTERS:** So, and, you know, then there's the
12 issue of someone who is on temporary duty at Camp
13 Lejeune. So say they went to the, I don't know, the
14 infantry school, and they were there for six weeks.
15 You know, I was in the military for 30 years. I went
16 TDY -- the Army. I went TDY and God knows, everywhere
17 else. And is there a record of that in my service
18 record? No. But they were there for 30 days or more.

19 **MR. ENSMINGER:** If it was a formal school, it
20 will show up on your record.

21 **DR. WALTERS:** Well, we hope but the Marine Corps
22 has told us that not all the records are complete.

23 **MR. STALLARD:** Okay. So clearly there is
24 opportunity --

25 **DR. WALTERS:** So there are challenges here with

1 administrative eligibility. And I think for probably
2 90 percent we are going to be okay, but again, the
3 devil's in the details. There's going to be that 10
4 percent who were there, who are going to have very
5 little proof. So what do we do then?

6 We take, you know, VBA takes buddy statements:
7 Yeah, I was in Vietnam, and I got my buddy over here
8 to certify Vietnam. Well, that's a process. And we
9 have to write that in regulations and it has to be
10 legally verified and okayed. So this is -- it's
11 difficult.

12 **MR. ENSMINGER:** If you can't prove you were in
13 Vietnam in your own records, tough.

14 **MR. STALLARD:** Excuse me. Tom has asked to
15 speak. Tom, go ahead with your question.

16 **MR. TOWNSEND:** Thank you. I wanted to pass on to
17 the -- as an addendum to my comment to the VA
18 representative, that I am sending back a denial of a
19 VA resolution that somehow found its way down to
20 Louisville. But I would throw in the fact -- I wrote
21 in the fact that the VA seems to deny totally that I
22 ever had -- it's not that I have never been at
23 Lejeune, they've now verified that. The VA seems to
24 want to discount totally my neuropathy that I've had
25 verified by outside neurologists, but not by a VA

1 neurologist, and I'm throwing in the point that my son
2 died at Camp Lejeune at age 102 days and my wife
3 passed away five years ago, and had an autopsy done,
4 and it said secondary cause of death was written into
5 the death certificate as exposure to contaminated
6 chemicals, contaminated water, of about 40 years. So
7 I think the Louisville VA regional office is just
8 trying to throw us off a claim. So it's coming down
9 the pike, and I hope that there's some decency and
10 honesty left in the Veterans' Administration.

11 **MR. FLOHR:** Mr. Townsend, we will get a hold of
12 your records and find out what's going on, make sure
13 you understand what is going on, what has happened and
14 where it is in the process. Generally if it was at
15 the Board of Veterans' Appeals, we can send it back to
16 the VBA for something -- you do get a letter from VBA
17 saying they have sent you ^. So I don't know why you
18 would not have gotten that.

19 **MR. STALLARD:** And Tom, so we're going to go into
20 break now, after Mike asks a question. And when we
21 do, we're going to ask you to turn your -- you know,
22 hang up so we can call you and get your claim number.
23 So Mike, we have about two minutes.

24 **MR. PARTAIN:** Just a quick question here, and a
25 statement over Glenn, but on the number to call in for

1 a veteran family member or someone who wants to
2 register, you know, prior to the funding here, there
3 are a couple numbers on the sheet here. Is there one
4 that's better than the other to get registered for
5 Camp Lejeune?

6 **MR. ENSMINGER:** One is for disability.

7 **DR. WALTERS:** One's for disability and the other
8 one, I think, is the better number.

9 **MR. PARTAIN:** Okay.

10 **DR. WALTERS:** But again, a lot of this is again,
11 you know, the fact that you have that question is
12 maybe indicative that we haven't, you know, we're not
13 explicit enough or --

14 **MR. PARTAIN:** We need the Eat At Joe's sign.

15 **DR. WALTERS:** What?

16 **MR. PARTAIN:** The Eat At Joe's sign flashing for
17 people to know.

18 **DR. WALTERS:** Eat At Joe's sign. Okay, got it.

19 **MR. PARTAIN:** And by the way, Glenn, thank you
20 for being here. And we do appreciate you but there is
21 no substitute for the real thing. 'Cause things do
22 get lost in the translation. I'm not saying you're
23 stupid or anything like that but things get lost in
24 the translation.

25 **MR. MARKWITH:** I just wanted to make...

1 **MR. PARTAIN:** I understand.

2 **MR. MARKWITH:** I just wanted to make the point
3 that the things that you discuss here does find its
4 way back to Camp Lejeune in a timely fashion.

5 **MR. ENSMINGER:** Now, a couple years ago, whenever
6 we discovered the presence of the benzene, the ATSDR
7 did pull their public health assessment for Camp
8 Lejeune down. It was like somebody threw a hand
9 grenade in the middle of the Marine Corps and
10 Department of the Navy representatives out there in
11 the audience. They were like a bunch of rats; they
12 just disappeared and haven't shown back up again.

13 **MR. STALLARD:** Thank you for that historical
14 reflection. That's a perfect segue for us to go into
15 break.

16 (Whereupon, recess taken from 10:25 a.m. until 10:40 a.m.)

17 **WATER MODELING UPDATES**

18 **MR. STALLARD:** We're going to go in -- and let's
19 see, Tom, Sandra, are you back on the line? They will
20 join us shortly. I'm giving my microphone over to
21 Morris at the moment. Yes, Dr. Portier?

22 **DR. PORTIER:** I just want to take one moment
23 before Morris starts. One thing Morris is going to
24 show you is the time at which the exposure, we
25 estimate that the exposures in the water at Camp

1 Lejeune exceeded the maximum contaminants level.
2 He'll show you that information. That information has
3 been passed on to the VA in the form of a letter.

4 I've not gotten confirmation that the person at
5 the VA I directed the letter to has received it. I
6 have copies for you but I won't give them to you, as I
7 believe it would be discourteous to not make sure the
8 person who's getting the letter gets it before I give
9 it to you. So as soon as I know that they've got it,
10 I'm giving you copies. I'm breaking my rules, giving
11 you copies of a letter between two federal agencies.
12 Okay, Morris. Thank you.

13 **MR. PARTAIN:** When was that letter sent out?

14 **DR. PORTIER:** This morning.

15 **MR. MASLIA:** The remote is being repaired so I'll
16 try to use this but I'll have to walk around so --
17 okay. Good morning. My name is Morris Maslia, and I
18 will provide you with an update on ATSDR's water
19 modeling activities at Camp Lejeune. I'll be happy to
20 answer questions but remind you that we have a lot of
21 time at the end of my presentation for discussion, or
22 further discussions.

23 I'd first like to just take this opportunity to
24 thank all the water modeling staff and health study
25 team members and other ATSDR colleagues who have

1 assisted with the water modeling analyses and the
2 preparations of the slides, as I was partly on leave
3 when they were being prepared. And those are Barbara
4 Anderson, Rene Suarez-Soto, Jason Sautner, who's here
5 in the audience; Ilker Telci, who just got his Ph.D.
6 from Georgia Tech; Mustafa Aral, Bob Faye, Susan
7 Moore, Tina Forrester, Stephanie Dunn, Perri Ruckart
8 and Frank Bove.

9 Am I audible? The focus of the presentation this
10 morning will primarily be on the Hadnot Point and
11 Holcomb Boulevard study area. Those not familiar with
12 that area, it was in the rectangle there. But during
13 discussion, it might be necessary also to refer to our
14 previously published work at Tarawa Terrace. The
15 Hadnot Point, Holcomb Boulevard water modeling reports
16 are grouped into three general categories and subject
17 matter areas.

18 We have data reports, which contain compilations
19 of data required for model development in historical
20 reconstruction, and those will be the Chapters B, C, D
21 and Supplements 1 and 3 of Chapter A.

22 We have interpretive reports, which contain data
23 analyses and model simulations that are presented and
24 discussed in the Chapter B report and Chapter A,
25 Supplements 1 through 8 in detail.

1 And then we have the Summary Report, which is the
2 Chapter A report, and that contains the data, analyses
3 and summaries of results, such as finished water
4 concentrations for contaminants of concern at Hadnot
5 Point water treatment plant. And those are presented
6 and summarized and discussed also in the Chapter A
7 report.

8 The next few slides I will review the status of
9 the specific Holcomb Boulevard chapter reports and
10 supplemental texts. And on these slides I'll only
11 provide the short titles due to space limitation on
12 the slides. The more finalized titles will obviously
13 be on our website with the Chapter A report.

14 The Chapters B, C and D reports have all been
15 published and are publicly available on the ATSDR
16 website, and the three-DVD set of publicly releasable
17 Department of Navy, UST management web portal files
18 are available by request from ATSDR.

19 The Chapter A report provides historical
20 concentrations of contaminants of concern in ground
21 water, water supply wells and at the Hadnot Point
22 water treatment plant and within the Holcomb Boulevard
23 housing areas. And it describes the processes and the
24 models used in the historical reconstruction process.
25 It also contains details of water modeling

1 investigations in the supplemental sections of Chapter
2 A and the results used to support the ATSDR health
3 studies. And it's on track to be released during the
4 spring of 2013.

5 Chapter A will have eight supplements, and these
6 are supplements, 1 through 8, will have gone through
7 external peer review. All the review comments are
8 being addressed currently or have been addressed by
9 the authors. And they will be released with the
10 Chapter A report. On the next few slides I will just
11 quickly summarize, again, using short titles, of the
12 eight supplements.

13 We have Supplement 1, which describes -- provides
14 data of water supply well operations, and it is the
15 most comprehensive and complete description of all the
16 water supply wells at Camp Lejeune in the study area
17 from 1942 through 2008.

18 Supplement 2 uses data from Supplement 1 and
19 derives a method whereby we can -- we obtained monthly
20 operations of these water supply wells that we needed
21 for the historical reconstruction process.

22 Supplement 3 presents water level data and
23 develops a conceptual model of ground water flow that
24 was needed to conduct the three-dimensional ground
25 water flow simulations that are reported in Supplement

1 4.

2 In Supplement 5 we developed an alternative
3 method, a simpler computational method for
4 reconstructing concentrations in contaminated water
5 supply wells, and we used a method called linear
6 control model methodology. And that supplement is
7 devoted to the development and application of that
8 methodology.

9 In Supplement 6 we used the ground water flow
10 simulation from Supplement 4 as well as chemical and
11 transport properties to reconstruct historical
12 concentrations of contaminants dissolved in ground
13 water. And I'm specifying dissolved in ground water
14 because benzene occurs in two different states,
15 dissolved in ground water and floating above, as an
16 LNAPL, which I'll explain a little bit later on.

17 In Supplement 7 we simulated benzene as it occurs
18 as a floating product above -- primarily above the
19 water table. And the model that was used to simulate
20 and reconstruct concentrations for benzene occurring
21 as an LNAPL as well as a dissolution of the LNAPL into
22 ground water, and its impact on water supply wells.

23 And finally Supplement 8 provides information on
24 field data that we collected and field tests that we
25 conducted for the three water distribution systems for

1 the study areas, and also presents results of
2 reconstructing the intermittent transfers of drinking
3 water between Hadnot Point and Holcomb Boulevard water
4 distribution systems for the years 1972 through 1985.

5 At this point I'd like to go through the water
6 modeling, the conceptual water modeling process that
7 we used as part of the historical reconstruction
8 process. It's a five-step process. Each step
9 required, obviously, the knowledge of subject matter
10 experts. Step 1 was to identify, collect information
11 and data. Step 2 was to build electronic databases of
12 all the information and data. Most of the information
13 and data were not in an electronic format or
14 compatible forms, on paper and things like that, and
15 so we had to key those in and set up databases. Step
16 3 is model development, extracting model-specific
17 input. Data files, different models require different
18 electronic databases and formats, and then running the
19 models.

20 On step 4, we made the decision; we look at the
21 results coming out of a particular model and look at
22 what field data we have available and see if there's
23 reasonable agreement. And I use that in a qualitative
24 sense. If there is reasonable agreement, then of
25 course, we can provide the results to the

1 epidemiologists conducting the health studies. If, in
2 fact, there's not reasonable agreement, then we go
3 into an iterative feedback loop where we may question
4 the values of the parameters that we used: Are they
5 correct or are there different values? Do we need to
6 obtain more information or search the databases and
7 information sources for additional information or
8 different interpretations of -- or perhaps do we need
9 to change our conceptual model? And this is a process
10 that happens hundreds and thousands or tens of
11 thousands of times. At this point we're at step 5,
12 with all water modeling activities.

13 Now at this point I'm going to go into a general
14 discussion of the types of models that we use. On the
15 following slide, I will get into specificity, and also
16 the Chapter A report, as well as the eight supplements
17 that accompany Chapter A, had specific details on
18 specific models that were used for specific tasks.

19 Basically we've got the study area here that we
20 conceptualized underneath as a porous medium. We use
21 a ground water flow model to determine ground water
22 levels under non-pumping and pumping conditions for
23 the years 1942 through 2008, and using that model, we
24 derived ground water flow velocities. Having the
25 ground water flow velocities for each month, we then

1 were able to use fate and transport, and chemical
2 properties, put that into a fate and transport model,
3 whether it's dissolved in ground water or an LNAPL,
4 it's still fate and transport model, and then derive
5 concentrations in the aquifers, the confining unit at
6 water supply wells.

7 Now, at Camp Lejeune and, in particularly Hadnot
8 Point and Holcomb Boulevard, all the supply wells mix
9 at the water treatment plant prior to being treated
10 and prior to being discharged out into the
11 distribution system. Because of this fact, we were
12 able to use a simplified flow-weighted mixing model.
13 Mix all the wells at the treatment plant, use an
14 algebraic model, and then determine the concentration,
15 each month, of all the wells that were pumping at the
16 water treatment plant. And that was the
17 concentrations that occurred in the distribution
18 system throughout Hadnot Point area and Holcomb
19 Boulevard area before the Holcomb Boulevard plant came
20 online in 1972. At that point we provided those
21 results to the epidemiologists.

22 Now during the period 1972, June 1972 to be
23 precise, and 1985, January 1985, the Holcomb Boulevard
24 water treatment plant was operating. Because of that,
25 we had to go to a much more sophisticated numerical

1 water distribution model to look at the distribution
2 of contaminants within the Holcomb Boulevard water
3 distribution system during periods of intermittent
4 transfers of contaminated Hadnot Point water to the
5 Holcomb Boulevard water distribution system. And so
6 we used a numerical water distribution system model
7 which allowed us to compute the varying concentrations
8 within pressurized pipes that provided water to the
9 different housing areas and locations at Holcomb
10 Boulevard. Those analyses, obviously, are complete,
11 and once we get data, we'll provide those results as
12 well for the study epidemiologists.

13 And this is a list of just the computational and
14 numerical models that we used: ground water flow,
15 fate and transport, linear control method, LNAPL
16 models, flow-weighted mixing model. We needed to do
17 some probabilistic analysis for the intermittent
18 transfer into the distribution system and the
19 distribution system. These specific models are listed
20 and described in the Chapter A report, in the main
21 part, and each of the -- in the supplemental sections
22 that support Chapter A, there are details about this,
23 and the development used and assumptions of all these
24 models.

25 At this point I wanted to go over a couple of

1 concepts that we had to understand and deal with, make
2 decisions on, in order to use some of these models.
3 And the first one is contaminant characterization:
4 How do we characterize contaminants? If we have
5 chlorinated alkynes, such as PCE and TCE, those are
6 classified and characterized as dense non-aqueous
7 phase liquids. And that's because they are denser
8 than water, water having the density of 1.0, PCE has a
9 density of about 1.5, 1.6. And so it sinks in its
10 pure phase form.

11 Based on the field data that we had, however, the
12 field data indicated that the concentrations were well
13 below the solubility limit, and so we can assume that
14 all TCE and the PCE were dissolved in ground water but
15 they do sink well below the water table, right here,
16 and as such, they impact pumping wells depending on
17 the operational sequence and how these wells are
18 pumping on and off. So you see sort of a downward
19 migration on different PCE and TCE.

20 When we compare that to benzene, and this also
21 would be used for benzene that's totally dissolved in
22 ground water, by the way, any constituents that
23 dissolve in ground water, this is the conceptual model
24 that is used. On the other hand, based on data
25 presented in the Chapter D report, which shows areas

1 of flowing product, of hydrocarbons, we have this
2 model; it's an LNAPL because benzene is lighter, or
3 hydrocarbons, are lighter than water. It's a light
4 non-aqueous phase liquid. And so it primarily, most
5 of the mass here floats above the water table, whereas
6 most of the mass here is below the water table. And
7 so because most of the mass here is above the water
8 table, in order to obtain simulations and impacts,
9 individual impacts at wells, we had to use a different
10 numerical model, an LNAPL. But you see primarily it
11 impacts areas at or above the water table and very
12 little goes into water supply wells. And the Chapter
13 A does present -- Chapter A and other supplements do
14 present mass balances so you can see the relative
15 amount of contaminant that goes up into gas, up into
16 the air, into the wells, into the aquifer.

17 So those are the two different concepts that we
18 had to use to classify the different classifications
19 of the compounds or chemicals of concern.

20 **MR. PARTAIN:** Morris, can I ask a question at
21 this point or you want to wait?

22 **MR. MASLIA:** I've got just a couple more slides.

23 **MR. PARTAIN:** Okay.

24 **MR. MASLIA:** Make a note and I'll answer it.

25 **MR. PARTAIN:** I want to come back to that.

1 **MR. MASLIA:** And we'll come back, okay. Another
2 point that we had to understand and deal with, and
3 say, an area of big uncertainty, are factors affecting
4 water quality sampling. Of course I show the slide in
5 our water modeling process where we run a model and
6 then compare it to field data. Now if you're doing,
7 say for example, remediation studies, currently, you
8 have properly constructed monitor wells. And that's
9 really what you want to use to sample. But you can
10 also use water supply wells, and that's primarily what
11 we had to rely on. Historically there were no
12 properly constructed monitor wells prior to the 80s,
13 mid-80s, even prior to the 90s, to be -- so we used
14 water supply wells. Next question is what was the
15 sampling standard methodology of protocol, if in fact,
16 there was one? Third, if we were using water supply
17 wells, what was the operational status? Was it on
18 when the sample was taken or was it off? If it was
19 off, how many well bodies were evacuated 'til a sample
20 was taken. And all that, to be blunt, is enough
21 information for the historical reconstruction process.

22 And finally are the sampling results repeatable
23 or consistent? If you take two samples within a day
24 or either within a month, are you having orders of
25 magnitude difference in values, things like that. And

1 those are explained and discussed, both in data
2 presentations in the various chapters and in Chapter A
3 as well as in the limitations sections of the reports.

4 Finally we get to what Dr. Portier has mentioned,
5 the exceedence of MCLs, or maximum contaminant levels,
6 in the study areas. This is the Tarawa Terrace water
7 modeling study period that went from January 1953
8 through December 1994, and the period of time that
9 VOCs exceeded the current values of the maximum
10 contaminant levels began in November 1957.

11 For the Holcomb Boulevard -- Hadnot Point,
12 Holcomb Boulevard study area, the water modeling study
13 period was 1942 through June 2008, and the estimated
14 period that VOCs exceeded the current MCLs are August
15 1953.

16 **MR. ENSMINGER:** No earlier?

17 **MR. MASLIA:** No.

18 **MR. ENSMINGER:** Never? Never exceeded the MCL
19 any earlier?

20 **MR. MASLIA:** I cannot say never on any of the
21 results that I present.

22 **MR. ENSMINGER:** Okay.

23 **MR. MASLIA:** That concludes my formal
24 presentation, and I will be happy to answer any
25 questions that you want to ask.

1 **MR. PARTAIN:** Morris, going back with the LNAPL
2 and the benzene.

3 **MR. MASLIA:** Let me just pull it up. Yep.

4 **MR. PARTAIN:** Okay. Are you going to put that
5 slide back up showing the wells and everything?

6 **MR. MASLIA:** (Indiscernible).

7 **MR. PARTAIN:** Okay. I have read stuff in the
8 documentation from Camp Lejeune about a karst. Can
9 you explain what that is and how that would affect
10 that model, if a karst was located near the Hadnot
11 Point fuel farm or within the Hadnot Point fuel farm
12 area?

13 **MR. MASLIA:** What you're referring to is the
14 first eight pages of the site management file 1185,
15 and that's a memorandum from a geohydrologist, I'm not
16 sure who hired by, but to evaluate -- they were
17 planning some work. And the whole site is calcareous
18 limestone. It would be underlying those official
19 aquifers.

20 **MR. PARTAIN:** What does a karst do as far as
21 return --

22 **MR. MASLIA:** I'm getting to that.

23 **MR. PARTAIN:** Okay, I'm sorry.

24 **MR. MASLIA:** It contains fractures, faults in
25 there. Now one of the issues you have to deal with

1 when an area is characterized with fractures and
2 faults and all like that, is the scale of them.
3 That's always been an issue in any kind of modeling.
4 Are you just going to look at one fracture or fault or
5 are you going to look at a certain scale where we can
6 represent all as a porous medium? We have taken the
7 approach in all our models, Tarawa Terrace is the same
8 way, that we can represent at a certain scale, we
9 don't know what that scale is and nobody knows what
10 that scale is, but at the scale that we modeled, that
11 these could be represented as a porous medium. So
12 Darcy's law is obeyed in all those ground water
13 concepts.

14 The other thing, when they map fractures and
15 faults in a geophysical analysis, and this talks about
16 it, and it's documented, they're not mapping whether
17 there's fluid flowing through them, okay? All they're
18 mapping are voids. And in fact they refer to losing
19 drill bits, you know, losing a tool, two feet and so
20 on. I've had experience in south Georgia on Colonel's
21 Island of drilling a well in the 1980s, where we went
22 down to the salt water interface, several thousand
23 feet down. And we would constantly find voids of ten
24 and 20 feet but there was no guarantee if there was
25 fluid flowing in there. And so you cannot -- we could

1 not just do a fault zone or a dual porosity model
2 where we were modeling the faults.

3 We made that decision early on for a number of
4 factors, one being the field data just were not there.
5 We were looking at a historical period. You would
6 have to have millions and millions of dollars of data.
7 The industry that uses that, nuclear industry uses
8 that because to them whether the fault's carrying
9 fluid or not is immaterial, and they spend that kind
10 of money to determine that.

11 Number 2, I want to get to this, since you've
12 referred to it, and this is in the files, proposes a
13 conceptual model trying to explain how LNAPL can be
14 found at depth, and that's what he was trying to
15 explain. But the key paragraph here is the primary
16 aspect of this model, and this is the key, which is
17 based on conjecture. He has no data, okay, which is
18 based on conjecture, is that water supply wells were
19 overpumped in the system, causing dewatering of the
20 voids. I categorically disagree with that, okay? And
21 the reason why the system was not overpumped is the
22 models we ran in that did not go dry. When Camp
23 Lejeune needed more water, they drilled more wells and
24 brought more wells online. They did not dewater the
25 aquifer. And all our model runs from 1942 all the

1 way, the aquifer is not dewatered.

2 **MR. PARTAIN:** Well, one thing that -- the reason
3 why the karsts caught my interest is there was
4 language discussing there was a rapid recharge area of
5 the aquifer, and that, basically the location of the
6 karst was pretty much right within the massive, what,
7 1.2 million-gallon fuel plume that's at Hadnot Point.

8 **MR. MASLIA:** Our conceptual model, and this is
9 described in Supplement 4 of the Chapter A report,
10 ground water levels.

11 **MR. PARTAIN:** I read that.

12 **MR. MASLIA:** Not Supplement 4, Supplement 3, the
13 water level and ground water flow conceptual model.
14 Has the recharge occurring at the uplands area, which
15 are towards the eastern, northeastern parts of the
16 study area. And that ^ by karst, too, and limestone.
17 And then coming down and moving westward and
18 discharging out at Northeast Creek.

19 We're not denying that there's limestone or karst
20 there. What I'm telling you is we are not modeling,
21 nor do I feel, based on available data and the
22 objective of our studies, is there a need to do karst-
23 specific fracture flow-specific modeling.

24 **MR. PARTAIN:** And that's getting beyond my tech
25 grade. The thing that I'm getting at and what I'm

1 trying to understand is, you know, from what I've
2 read, with the presence, if that is correct that there
3 is some type of natural karst there or rapid recharge
4 in the aquifer in and around the Hadnot Point fuel
5 farm, would logic not dictate, then, that there would
6 be a more susceptibility of mixing the fuel and the
7 ground water going into the recharge and driving some
8 of that contaminant deeper? Because your model there
9 seems to suggest that the deep-water wells, and I
10 don't know your, you know, feet there, are not capable
11 of being exposed to benzene.

12 **MR. MASLIA:** Not from a conceptual standpoint.
13 There could be a number of mechanisms -- you know,
14 benzene, depending on where the supply well is
15 located, poor casing, some of these wells are quite
16 old, leaking down, down the casing, and then as the
17 well turns on, it draws it down, and then it's trapped
18 in some of the fractures. What I'm trying to tell you
19 is, while you can't rule that out, our conceptual
20 model is in fact that most of the benzene flows, a
21 little of it dissolves, the LNAPL model does take into
22 account the dissolution of the flowing product into
23 the porous medium and gets into wells because of
24 continued pumping action. But the models do not --
25 are not a conceptual model of a karstic fractured

1 dominated system.

2 **MR. PARTAIN:** And how did you all -- there is
3 data out there in the sampling showing benzene in the
4 deep aquifer. How do you all account for that or what
5 do you do with that data?

6 **MR. MASLIA:** We did not -- we reported it and
7 it's unexplainable, just like other -- like that 2,500
8 microgram per liter benzene at the treatment plant
9 after, supposedly, all the wells were shut down for a
10 year. We reported as we -- as it is given to us, and
11 I think in chapter -- I'm not sure. I'll just say
12 it's unexplained. That's one data point. If you go
13 through the documents the Marine Corps -- I say the
14 Marine Corps, I think -- it's their consultant or
15 whomever that have had -- attempted several different
16 explanations for that one data point at depth. At one
17 point they had a hurricane coming through and
18 depressed pressure pushing it all the way down there.
19 And so we start getting varying explanations as to why
20 something occurs like that, the next answer is, well,
21 we have to go out and instrument the place completely
22 and spend the appropriate amount of money, and then
23 you may or may not get your answer.

24 **MR. ENSMINGER:** Well, historically now, the
25 benzene levels in the deep aquifer have been showing

1 at higher levels than the shallow and intermediate
2 aquifers of recent testing.

3 **MR. MASLIA:** Recent testing.

4 **MR. ENSMINGER:** I mean, within the last five or
5 six years.

6 **MR. MASLIA:** That is correct.

7 **MR. ENSMINGER:** So how do you explain that?

8 **MR. MASLIA:** I don't. Again, we --

9 **MR. ENSMINGER:** I mean, these are actual
10 analytical results.

11 **MR. MASLIA:** First of all, what I want to explain
12 is what we're doing, okay. We're looking at a
13 historical model, okay, based on historical water
14 quality things like data. So while we can use some of
15 the present day information to help guide us, and we
16 did, that's why we took the model of 2008. We did not
17 model the present day system in terms of -- we would
18 have to start putting in all their remediation
19 technology as well, all the air sparging, vapor
20 removal and all that sort of stuff to be able to try
21 to duplicate what the results of the present day
22 system would -- and we did not do that. We were
23 charged with, and we did, develop a model that went
24 back historically, and all those mediation systems
25 were not in place until the late 1990s, probably the

1 early 2000s. All I can tell you is that --

2 **MR. ENSMINGER:** They started the remediation
3 system at the Hadnot Point fuel farm in the early
4 1990s.

5 **MR. MASLIA:** And it did not work properly a lot
6 of the times.

7 **MR. ENSMINGER:** Well gee --

8 **MR. MASLIA:** Well, well. So, what I'm saying
9 again is these are the conceptual models that we have.
10 I've been given the data, the historical data. They
11 are consistent, okay, for the level. Anything else,
12 in order to go back historically, as I pointed out, we
13 have a number of unknowns. And part of that is when
14 leakage started we made some assumptions as to when
15 the tank system, underground storage tank system
16 started leaking, and all of that. There's a lot, a
17 lot of unknowns that we dealt with that we made
18 assumptions on based on documents, based on literature
19 searches, and site data was not available.

20 **MR. ENSMINGER:** Throughout your data research for
21 these water models at Camp Lejeune, did the Marine
22 Corps ever provide you, or the Department of the Navy,
23 ever provide you these regulations -- their own
24 internal regulations, that have been on the books
25 since 1962?

1 **MR. MASLIA:** Water quality?

2 **MR. ENSMINGER:** Water quality standards and
3 testing procedures. One specifically for carbon
4 chloroform extract, which is outlined in their NAVMED
5 P-5010-5 dated August of 1963.

6 **MR. MASLIA:** I don't recall.

7 **MR. ENSMINGER:** And they set a standard for total
8 organic levels in their finished drinking water at 200
9 parts per billion in their BUMED Instruction 6240.3B,
10 dated a month after this document came out. In other
11 words this document was used to discuss and outline
12 the procedures and standards that they were coming up
13 with, and then they put that into action in the BUMED
14 Instruction 6240.3. So in September 1963, the Navy
15 issued a BUMED 6240.3B, which had a standard of 200
16 parts per billion in total organics in the finished
17 drinking water. Now, that belies the statement that's
18 been made by the Department of the Navy and the Marine
19 Corps to this day. They state that there were no
20 regulations in place, and there is an ounce of truth
21 in their statement, the ounce of truth being that
22 there were no regulatory standards for those specific
23 chemicals. However, what they're failing to tell you
24 is that they did have a standard in place for total
25 organics. And every chemical that was found in our

1 drinking water at Camp Lejeune is an organic chemical.

2 So, and when I approach these regulations over
3 the years with ATSDR, I got an answer back stating
4 that ATSDR did not get into the legal side of these
5 issues. And well, that's fine. But the fact that
6 their regulations created a standard and outlined that
7 this stuff was to be tested, so there should be
8 analytical results available, and I would think that
9 it would be advisable for ATSDR to write a letter
10 citing these regulations to the Department of the
11 Navy. I know what kind of answer you're already going
12 to get back but, for your records, have something in
13 writing back from them and the negative response,
14 stating that we don't have it.

15 **MR. MASLIA:** If I could just address, and this
16 goes to the Tarawa Terrace modeling that we did as
17 well, the MCLs, whatever they may be, are not direct
18 in any way used in either model concept development or
19 running the models. We use them simply as a
20 comparison standard.

21 **MR. ENSMINGER:** Yeah, but these would give you
22 more data points.

23 **MR. MASLIA:** Just let me finish here. So if
24 someone had come and said, we want you to use an MCL
25 of 10 or 50 or one, we'd put that line on the graph.

1 It would not change the modeling results because that
2 does not -- now what does affect modeling results, of
3 course, is the detection limits on the sample, that
4 that would take into account, things like that. The
5 sampling protocol or frequency, things like that, that
6 we have to do to interpret the difference between the
7 model result and a field result. And so I just want
8 to clarify that the MCLs, from a modeling perspective,
9 have no impact or no influence on the modeling results
10 themselves.

11 **MR. ENSMINGER:** Well, what I'm saying is these
12 results would give you more data points within your
13 model.

14 **MR. MASLIA:** Well, we have gone back, and not
15 just the water modeling group but other groups at
16 ATSDR, and have asked for every piece of data that
17 they have.

18 **MR. PARTAIN:** But this is important enough 'cause
19 if this regulation was followed and these tests were
20 conducted, like Jerry said, these are going to be data
21 points, and historical data point throughout the
22 survey period. Right now the samplings --

23 **MR. MASLIA:** And they've told us, time after time
24 again --

25 **MR. PARTAIN:** I understand.

1 **MR. MASLIA:** -- and they've gone on record as not
2 having any, I repeat any, VOC data prior to 1982.

3 **MR. ENSMINGER:** This is not VOC data. This is
4 organics, total organic.

5 **MR. PARTAIN:** And this is --

6 **MR. ENSMINGER:** Total PCE.

7 **MR. PARTAIN:** This is a requirement that was
8 internal to the Marine Corps and the Navy, and is a
9 specific testing requirement, and it should show data
10 that is out there. And they should have been doing it
11 'cause this order wasn't revised until December of
12 1988.

13 **MR. ENSMINGER:** No, at '72 they lowered it from
14 200 parts per billion to 150.

15 **MR. PARTAIN:** So this is their drinking water
16 regulation standard. And Morris, I know you want to
17 jump in here for a second but here is the thought with
18 this, okay? You guys have only got limited data
19 between 1982 and 1985. Now, if the Marine Corps and
20 the Navy had been doing their job and following their
21 own regulations, then we would have data points dating
22 back to 1963 for both TT and Hadnot Point and Holcomb
23 Boulevard.

24 Now, I understand that they said over and over
25 again, we've given you everything; that's a blanket

1 statement. Then, you know, we can have it pop up
2 again like housing records. I would feel comfortable,
3 as a CAP member and a representative of the community,
4 to see a letter from ATSDR to the Department of the
5 Navy, citing these regulations that Jerry's talking
6 about, specifically asking for these test results.
7 'Cause like the Sphynx, if you don't ask the Sphynx
8 the correct question in the correct manner and the
9 correct gesture, you're not going to get the correct
10 answer.

11 **MR. MASLIA:** I'll defer that decision to Dr.
12 Sinks and Dr. Portier.

13 **MR. PARTAIN:** And I think I'll speak for the rest
14 of the CAP that we would like to make that
15 recommendation. And I mean, it goes along with this
16 is the same animal we're dealing with, with the water
17 supply logs, production logs, for the Hadnot Point
18 wells that mysteriously disappeared. We'd been told
19 all the data's there -- I'm sorry, all the information
20 available is present, but specifically those well
21 logs, which are critical to your water model 'cause
22 they show the sequence of when the wells were
23 operated, are missing.

24 And this is one of these key data points that we
25 get to that, when it's time to, you know, to fluster

1 out the devil in the details, as Dr. Walters talked
2 about earlier, those details are mysteriously missing.
3 And this is one of them right here.

4 **MR. MASLIA:** I will say that in the data mining
5 technical workbook, if you go through the types of
6 data, and there's a table in there, and it's also in
7 Chapter A by the way, the final document's on the web.
8 We gave the dates that we needed information for and
9 it started in 1942.

10 **MR. PARTAIN:** And this is not to say that you
11 guys are not doing your job or anything; it's just, to
12 me, scientifically, you want to nail things down as
13 tight as possible, and this regulation is a huge
14 question mark on the data.

15 **MR. ENSMINGER:** Well, I mean, and the Marine
16 Corps and the Department of the Navy have historically
17 played this role in this issue: Well, you didn't ask
18 specifically for that, so we didn't give it to you.

19 **MR. PARTAIN:** And I'll give you a great --

20 **MR. ENSMINGER:** And I mean, that has been
21 historically the answer you -- everything is legalese
22 with those people because their butts' hanging out,
23 okay? But this is a standard; it is a regulation and
24 it sets the testing procedure and the testing for the
25 ^. And those analytical results should be available.

1 It even has the form that they were required to use in
2 it.

3 **MR. PARTAIN:** And to give an example of what
4 Jerry's talking about with these questions, in the
5 summer of 2009, Senators Burr and Hagan, both posed
6 the question to the Department of the Navy and the
7 Marine Corps concerning a whole host of things
8 including the Hadnot Point fuel farm and what was
9 leaked out there. Now while we didn't specifically
10 ask how much fuel had leaked out or what the estimates
11 were, the Marine Corps answered the question but never
12 provided the information to the senators that there
13 was around 1.2 million gallons of fuel floating in the
14 aquifer, which you'd think that would be an important
15 piece of information to disseminate. That was found a
16 year later by you all and also by Jim Fontella. So
17 you think, you know, two U.S. senators writing to the
18 Marine Corps and the Navy a set of inquiries, that the
19 Marine Corps would come clean and say that. That's
20 why I'm asking for this request to be put in writing.
21 Like Jerry is and I am too; I'm seconding it. To ask
22 for this specifically. 'Cause I do not want to see it
23 pop up after all your studies are done: Oh, by the
24 way, here's some data points that we, you know, we
25 have.

1 **MR. ENSMINGER:** The Department of the Navy Marine
2 Corps knew the magnitude of the losses at the Hadnot
3 Point fuel farm, documented as early as 1996. And
4 when did ATSDR find out about it? The magnitude?

5 **MR. MASLIA:** I believe it was in March 2010.

6 **MR. ENSMINGER:** In March of 2010. They knew that
7 they had lost in excess of 800,000 gallons, and it was
8 cited in a technical or in a -- what was it, a working
9 group meeting, in November of 1996. ATSDR was at Camp
10 Lejeune working on the public health assessment. They
11 were working on studies. They actually kicked off the
12 water modeling. Had meetings on all this. And they
13 didn't tell you guys that they knew how much fuel was
14 in the ground? What the heck? I mean, that shows --
15 that shows. You know I went to your website the other
16 day, which reminds me, on your website it says ATSDR's
17 been working on the health exposures or human
18 exposures to VOCs since 1993. Well, isn't that great?
19 You just haven't had any ^ in 20 years. But that
20 date's wrong. ATSDR has been working at Camp Lejeune
21 since January of 1991.

22 **MR. TOWNSEND:** Jerry?

23 **MR. STALLARD:** Yeah, Tom, welcome back. Let me
24 ask, do we have any more questions for Dr. Maslia?

25 **MR. ENSMINGER:** Doctor?

1 **MR. TOWNSEND:** Yeah, I have a comment from what
2 Dr. Maslia was talking about.

3 **MR. ENSMINGER:** You look like a doctor.

4 **MR. STALLARD:** Well, he has shared with us an
5 enormous amount of expertise and passion in
6 geohydrophysics, so I thought I'd recognize that.

7 **MR. ENSMINGER:** What did Tom want?

8 **MR. TOWNSEND:** Hey, I'd like to just throw in a
9 comment about the extent of the contamination. I was
10 a ^ observer artillery, and was in the firing range
11 area, and we had exercises, used to dig down about a
12 foot and a half in the sand, and there would be an oil
13 ^. I mean, this has been going -- and that was in
14 1955. This crap has been going on -- I was fortunate
15 my family moved out of Tarawa Terrace before it became
16 contaminated. I had the unfortunate action of being
17 assigned to a house on ^ at Hadnot Point, which was
18 the fire hydrant had about 300 parts per million of
19 this crap. That was -- my family was drinking it and
20 taking showers and washing clothes. This thing has
21 got to come to a screeching halt.

22 **MR. ENSMINGER:** Well, I have right here, ATSDR's
23 preliminary findings from their site visit from
24 January of 1991, in a letter written by the Navy
25 Environmental Health Center in September of 1992. And

1 ATSDR's preliminary findings were contaminants of
2 concern on base including fuels, VOCs, solvents,
3 metals, solvents and fuel constituents were identified
4 in base potable supply wells. The contaminated on-
5 base supply wells are a past completed exposure
6 pathway.

7 And mysteriously, the fuel ended up being dropped
8 from the public health assessment whenever there was a
9 completed pathway already identified, in 1992. How'd
10 that happen?

11 And, oh gee, let's not forget that none of the
12 supporting documents for that piece of crap health
13 assessment is available; the dog ate it. It was lost
14 in a move. Then it was explained to us that a
15 contractor came in and just arbitrarily went through
16 the boxes of these documents that were sitting in the
17 reproduction room, and took it upon himself to go
18 through all of the files, pull all of them out of
19 their binders and shred them. Come on.

20 **MR. TOWNSEND:** The dog ate them.

21 **MR. STALLARD:** All right, folks. We've heard
22 this mantra before.

23 **MR. ENSMINGER:** But can I make one other point?
24 On ATSDR's website it also states under the Camp
25 Lejeune thing that ATSDR cannot determine any of the

1 health effects of exposure to VOCs. Really? Three of
2 the known chemicals we were exposed to at Camp Lejeune
3 are known human carcinogens, for God's sake. And it's
4 right there. It's right there on your website. I
5 called Frank about it the other day. I said, what the
6 hell's this? He said, well, it's something you need
7 to bring up. He said, because it's wrong. I mean,
8 you know --

9 **MR. STALLARD:** Let me say that we're here right
10 now. I need to see if Morris can sit down.

11 **MR. ENSMINGER:** Morris?

12 **MR. STALLARD:** And then I'll turn it over to Dr.
13 Portier to answer questions?

14 **MR. MASLIA:** Is there another question?

15 **MR. ENSMINGER:** Yes.

16 **MR. MASLIA:** Yes.

17 **MR. ENSMINGER:** On the LNAPLs. Who did the work-
18 up on the LNAPLs?

19 **MR. MASLIA:** Who did the work-up?

20 **MR. ENSMINGER:** Yeah.

21 **MR. MASLIA:** You mean the study team of ATSDR's -
22 - water modeling team gathered all the information,
23 put it in, in a package. We did the concept, we
24 plotted maps out. And then the actual model
25 development was developed by Georgia Tech.

1 **MR. ENSMINGER:** Okay.

2 **MR. MASLIA:** They provided the results back to
3 us, which is part of the Supplement 7.

4 **MR. ENSMINGER:** I would hope that Georgia Tech is
5 here at the representation to explain how they did
6 this model, whenever the Chapter A report comes out.
7 I'd like to see them here to explain why they say that
8 it's virtually impossible for these LNAPLs to get down
9 that deep.

10 **MR. MASLIA:** I do.

11 **MR. STALLARD:** Want to extend the invitation?

12 **MR. MASLIA:** What? I'll defer to my superiors on
13 that.

14 **MR. STALLARD:** Okay. All right, Mike, you had a
15 question?

16 **MR. PARTAIN:** Jerry got most of what I was going
17 to -- I said Jerry got most of what I was going to
18 say. But I'll just tack on, you know, when the
19 finished product comes out, is there going to be any
20 type of caveat or asterisk denoting the fact that
21 there are data points indicating benzene in the deep
22 aquifer, for the benefit of the doubt, I mean?

23 **MR. MASLIA:** Those are, I believe, in Chapter D
24 report.

25 **MR. PARTAIN:** Okay.

1 **MR. MASLIA:** That's already out there. And again
2 we didn't just eliminate -- let me clarify, because I
3 think that you bring up an important point. If there
4 was a data point, I'll use the water treatment plant,
5 that says it's unexplained or whatever, we provide
6 that data point and cite the exact reference where we
7 found the data point at, okay. And just say that -- I
8 believe we even used in discussing in saying it's
9 unexplained. But we're not taking data out or not
10 providing data.

11 But I want to clarify one other thing. I'm not
12 here saying that none of the supply wells in the model
13 showed any benzene or any particular constituent.
14 What I was trying to do with the conceptual models is
15 show the relative difference between a DNAPL migrating
16 through ground water and an LNAPL. And the models
17 show that if the majority of the mass in a DNAPL is
18 below the water table, the majority of the mass in an
19 LNAPL is above the water table, and most importantly
20 you have on the average, 28 wells pumping, sometimes
21 it's higher in a period of the epi studies, sometimes
22 as high as 35 wells, mixing at one point in time,
23 okay.

24 **MR. ENSMINGER:** How is that?

25 **MR. MASLIA:** How is that? To get the water

1 supply, we have documentation on that. Go to the
2 supplemental -- the published Supplements 1 and 2, and
3 you will see how much water, raw water, that is total
4 water, that we have documentation on. And a well is
5 only capable of pumping at best what it's rated. Many
6 of the wells as they age pump even less. And that's
7 why they have to have 28, an average, of 28 wells
8 mixing at any one time, obviously averaging no higher
9 than that or lower than that. But at the Hadnot Point
10 system -- so again, when we're doing a mixing model,
11 you don't only mix contaminated wells, you mix, for
12 each month, you mix all the wells that were pumping,
13 and that is consistent with the total water, that was
14 provided to us in documentation, raw water that was
15 received at the water treatment plant.

16 **MR. ENSMINGER:** I thought that they could only
17 facilitate ten wells at a time in the plant.

18 **MR. MASLIA:** No. They can do more than that.
19 Maybe only ten, only full bore out. I don't know;
20 I've never heard that limit. But our models -- it
21 varies month to month, okay. And based on the work in
22 Supplement 2 that describes the process that we used
23 to reconstruct monthly operation of the wells, which
24 was based on the 1998 'til 2008 daily records, and
25 some other sporadic.

1 **MR. PARTAIN:** Now, the pumping sequence of the
2 wells, Morris?

3 **MR. MASLIA:** Yes.

4 **MR. PARTAIN:** That was established after the
5 contamination period, correct? As far as what we were
6 --

7 **MR. MASLIA:** No, what we did was, and this is
8 important to understand, is we had -- we first
9 established the historical operation of the wells in
10 terms of whether they were on, and Jason did this
11 work, and when they were completely pulled out of
12 service and/or when they were replaced by a new well.
13 And that graph is also in several of the reports in
14 Chapter A. And there's 97 -- there's a hundred wells
15 but 97 water supply wells, okay, 97 wells. Once we
16 had that, we then had information, daily information,
17 from log sheets from 1998 through 2008 on the daily
18 operations of all the wells.

19 So we used that information sort of as a training
20 period to train the wells because typically water
21 plant operators like to operate similar wells in
22 similar manner. They don't all of a sudden like to go
23 turn on a well ^ turning on. And so through a
24 technique and procedure developed, you know, with our
25 cooperative agreement partners and ^, who is an ^,

1 ORISE fellow with us, we developed a method to go
2 back. And then on a monthly basis tell us which wells
3 and how much they operated. So one of the assumptions
4 is a well can't pump more than its rated capacity. If
5 the well's rated at 150 gallons per minute, it can't
6 pump more than 150 gallons per minute.

7 **MR. ENSMINGER:** You'd be lucky if you get
8 anything.

9 **MR. MASLIA:** Yeah, that's the point. And we had
10 sporadic information going through the well files at
11 Camp Lejeune and speaking with retired operators and
12 current operators as to how they -- if we had a
13 question about how they would operate certain wells.

14 **MR. PARTAIN:** And that's what I'm getting at,
15 'cause like for example, Bert, when we talked to him
16 and interviewed him, he indicated that prior to 1985,
17 the operation of any specific supply well was a
18 haphazard decision that was made by the water
19 treatment plant operator at that time when they were
20 in there. There was no set pattern or no set routine.
21 Now, here's my concern, and there's a reason why I
22 bring the point up, and I understand you have to have
23 something to run the water model. If you're running
24 the water model using the sequence of water wells that
25 are being turned on and off and supplying that well,

1 based on 1998 forward, that is number one to me after
2 the contamination period, the behavior has been
3 modified because of an event, and the event being that
4 Camp Lejeune's drinking water was poisoned in 1985,
5 which -- and they got caught, and that changed a
6 behavior. And yet you didn't see it in the log books
7 in the plants how they start talking when you go into
8 the log books. And curiously back to my original
9 point about the well logs, supply logs, books from
10 1995 backwards, they're not there. So how does ATSDR
11 address that tinge of doubt that's in there? Because,
12 you know, correct me if I'm wrong, how the wells
13 operated in the sequence they're operating can affect
14 a water model. If I'm turning on one -- like for
15 example, if I'm operating well 602 every day, or, you
16 know, over, you know, overoperating or overpumping
17 them, that could affect your data points, correct?

18 **MR. MASLIA:** Right. The one overriding, the
19 major constraint, whatever we get in terms of wells
20 operating, not necessarily operating behavior, it was
21 a constraint that no matter what happened, no matter
22 what they did, they had to keep every storage tank
23 filled. They would not allow the storage tanks to
24 drop more than a foot. We were there on base when
25 that happened and they would turn it. And during

1 that, that means they would have to operate, I would
2 kindly disagree that they could only operate ten wells
3 at a time because we operate more than ten wells at a
4 time. It does not dewater the aquifer. And that was
5 -- now, which wells they cycled in and out
6 specifically, and we say that that's an unknown. But
7 the volume of water that the model needs for the ^
8 wells and all that, that I'm very confident in.

9 And in fact we have tried numerous simulations,
10 in fact very recently, to see if we can vary that, and
11 because they had to keep those tanks filled, when we
12 tried to vary it even by five percent, it would blow
13 up. It would not work, okay. They had to have that
14 supplied with water. And that's really -- that was a
15 constraint on the operators, to keep those tanks
16 filled and once they drop like a foot, they dropped
17 like a foot, 1.2 feet, they would immediately turn on
18 those wells.

19 **UNIDENTIFIED SPEAKER:** Morris, also you add the
20 well histories ^ the time a well came into existence
21 through --

22 **MR. TOWNSEND:** Morris?

23 **MR. MASLIA:** Yes.

24 **MR. TOWNSEND:** Tom Townsend. When -- I missed
25 something. When is this new report for Paradise Point

1 going to be coming public?

2 **MR. MASLIA:** Which report?

3 **MR. TOWNSEND:** For Paradise Point.

4 **MR. MASLIA:** Chapter A in the spring of 2013.

5 **MR. ENSMINGER:** This year.

6 **MR. TOWNSEND:** In the spring.

7 **MR. MASLIA:** In the spring.

8 **MR. TOWNSEND:** Yeah, well, I'll look for it in
9 the spring, okay.

10 **MR. MASLIA:** I checked this morning and the
11 vernal equinox does not occur until March 20th.

12 **MR. ENSMINGER:** Tom, I noticed that the maple
13 trees are budding out already.

14 **MR. STALLARD:** Hopefully the snow will be melted
15 by then up there and you'll have good water. Dr.
16 Portier would like to speak.

17 **DR. PORTIER:** Yeah, I wanted to speak before I
18 lost track of everything you guys had said as we went
19 along. Jerry, you'll have to show me or one of my
20 staff exactly where that wording is. It shouldn't be
21 in there, on the website, and I'll make sure we get it
22 clarified, whatever the wording is.

23 The question you had regarding the total carbon
24 and measurements of total carbon, we'll talk about it.

25 **MR. PARTAIN:** You mean the carbon chloroform

1 extract?

2 **MR. ENSMINGER:** Total organics.

3 **DR. PORTIER:** Total organics, sorry, total
4 organics. But we'll talk about it. There's two
5 questions that have to be asked. Number one is could
6 Morris use it if he had it; that's question number
7 one. And question number two is: Is it likely to
8 make any difference because of the fact that they're
9 using different measure than what we would normally be
10 looking for. So we'll talk about it and decide
11 whether or not we really need to send something or
12 not, based upon utility in characterizing the model.

13 Most of the things you're talking about, Mike,
14 most of the questions you were asking, are dealt with
15 in this whole section of uncertainty of the model or
16 uncertainty of the predictions from the model, as
17 Morris pointed out, in trying different scenarios
18 under different conditions to see what would happen.
19 So I think when you get the chapter and the supplement
20 associated with the uncertainty part, you'll see some
21 of these things addressed as best they could. I do
22 know for a fact, having read this chapter enough
23 times, that the large benzene value is indeed
24 discussed in Chapter A and in the supplement, but
25 clearly in Chapter A as a bearing point that we don't

1 know what to do with. It's one of the major
2 uncertainties of the overall evaluation that is
3 pointed out in Chapter A.

4 **MR. ENSMINGER:** I have a question. How many
5 other NPL sites has ATSDR been working on for over 20
6 years and don't have a public health assessment?

7 **DR. PORTIER:** We can probably get you that
8 number, but if it's more than zero, I'm going to be
9 pretty angry with my staff.

10 **MR. ENSMINGER:** I mean, and I realize that this
11 is not all ATSDR's fault.

12 **DR. PORTIER:** Well, I will point out, Jerry, that
13 we work all the NPL sites, every five years we look at
14 them, we revisit them to see if we need to do anything
15 else. Until they come off the list they're still in
16 our bailiwick.

17 **MR. ENSMINGER:** Yeah, but I mean, we've been
18 working on Camp Lejeune for 20-some years without any
19 answers, I mean, without any real answers yet. I
20 mean, what's the reason for that? I mean, I know
21 that's not -- it's not all ATSDR's fault. Whose fault
22 is it that this has been drug out for so long? Or
23 don't you want to say? I mean, is it the Department
24 of the Navy's fault, Marine Corps?

25 **MR. STALLARD:** Jerry, we're not here for --

1 **MR. ENSMINGER:** I am.

2 **MR. STALLARD:** -- for blame right now. We're
3 just trying to continue to advance our work as the CAP
4 to address the studies.

5 **DR. PORTIER:** Morris is probably getting tired.

6 **MR. STALLARD:** He's got to be.

7 **MR. MASLIA:** I got here about 5:30 this morning.

8 **MR. PARTAIN:** I'm done with Morris.

9 **MR. ENSMINGER:** That bow tie will hold him up.

10 **MR. MASLIA:** Are there any other questions?

11 **MR. PARTAIN:** For Morris? No.

12 **DR. PORTIER:** Morris, I think you can answer any
13 additional questions from your chair, if you'd like to
14 sit down.

15 **MR. MASLIA:** Oh, sure, okay.

16 **MR. STALLARD:** Yeah, and take off that microphone
17 before you go.

18 **MR. MASLIA:** I will.

19 **MR. PARTAIN:** Going back, Dr. Portier, to when
20 you mentioned talking about the utility of the data
21 and stuff. The fact that we have you said the variant
22 data point for Hadnot Point, 2,500 parts per billion
23 of benzene. To me the existence of that data from the
24 Marine Corps and Navy in the form of their carbon
25 chlorified extract testing should help -- I mean, to

1 me it would theoretically help nail down the
2 possibility that would be correct anyway. And --

3 **DR. PORTIER:** What was their standard again? Was
4 it 200 --

5 **MR. PARTAIN:** Well, it's 200 parts per billion
6 total. 'Cause and that's in 1972 that changed 'cause,
7 you know, reading from the carbon chlorified extract
8 point on here, this is what the regulation's saying:
9 The use of carbon chloroform extract as a practical
10 measure of water quality and as a safeguard against
11 the intrusion of excessive amounts of potentially
12 toxic material in the water has been discussed
13 elsewhere. It is proposed as a technical practical
14 procedure which will afford a large measure of
15 protection against the presence of undetected toxic
16 materials in finished drinking water. The most
17 desirable condition is one in which the water supply
18 delivered to the consumer contains no organic
19 residues. Residual organic matter in the treated
20 water clearly represents manmade or natural pollutants
21 which had not been removed in water treatment or
22 materials such as lubricants inadvertently introduced
23 by the water plant. In a view of general inability to
24 clearly define a chemical and toxicological nature of
25 this material, it is most desirable to limit it to the

1 lowest obtainable level.

2 Analysis of data available indicates that the
3 water supply is containing over 200 micrograms of
4 CCEs, slash, 1, of water represents an exceptional and
5 unwarranted dosage of the water consumed with ill-
6 defined chemicals. And it is recommended that 200
7 parts per billion be the limit in concentrations in
8 drinking water.

9 **MR. ENSMINGER:** And those recommendation -- that
10 document is dated August of 1963. Those
11 recommendations were put into the regulatory standards
12 in the BUMED Instruction 6240.3B, which was issued in
13 September of 1963. And then it was revised again in
14 September of 1972 and reissued as BUMED Instruction
15 6240.3C, where they lowered the standard from 200
16 parts per billion for total CCE to 150.

17 Now, in 1982, when they got a report back from
18 Granger Laboratory showing 1,400 parts per billion, of
19 just TCE alone, and their standard of 150 parts per
20 billion, they were in violation of their own damn
21 standards by 9.33 times.

22 **MR. PARTAIN:** And did absolutely nothing.

23 **MR. ENSMINGER:** But then you listen to them in
24 the documentary film, and their spokespeople say, we
25 couldn't figure out where it was coming from. No

1 shit! Do the test. You had the requirements right
2 there, and the standard. Now where are they?

3 And, you know, that's something that ATSDR should
4 have learned a lesson about the Department of the Navy
5 and these DoD sites, for in the future. You need to
6 find out what their own regulations were before you
7 move any further. Because these guys had regulations
8 and they've been lying about them for all these years.

9 I didn't find that P-5010-5 until the spring of
10 last year. And I still don't know where I got it but
11 I got my hands on it. And we had the BUMEDs all these
12 years which showed the standard MCL in their
13 regulations, in the BUMEDs of CCE, but we didn't know
14 what it was. 'Cause in the BUMEDs it didn't spell it
15 out. The only place it was spelled out was in that --
16 the NAVMED. And none of these directives were
17 canceled until 1988.

18 **MR. PARTAIN:** And Dr. Portier, the reason why,
19 you know, I ask, personally, as a former resident of
20 Camp Lejeune, that this be put in writing to eliminate
21 all doubt whether this data's there or not, is from a
22 statement that the Marine Corps has made over and over
23 again. This particular one comes from General Conway
24 in -- Commandant of the Marine Corps in 2009. Quote:
25 Although drinking water regulations did not regulate

1 the contaminants at the time, space, and would not
2 until 1989-1992, the Marine Corps took action.

3 Now, the -- whether this stuff is out there as
4 far as the Marine Corps following their regulations
5 and doing this required testing, as a member of the
6 community, that is vitally important to me, because,
7 if they did do it and it does show the contaminant
8 there, then, to me, that backs up your water model, it
9 backs up when your water model's going to be attacked
10 by the Marine Corps and the Navy as being junk science
11 or whatever they want to try to say it is, and it is
12 vitally important that that point be nailed down as
13 firmly as possible. And the only way I know how to do
14 that is a, as a claims adjustor, is I deal with facts.
15 I don't deal with generalizations.

16 The fact is Marine Corps/Navy, here's your
17 regulation. Do you have the analytical results for
18 this regulatory testing? And it's either yes or no.
19 Of course they'll come up with another answer saying,
20 we don't have the documents. That doesn't mean that
21 we didn't do the testing because we don't have the
22 documents. But I want them to answer that question,
23 just like I want them to answer where those well
24 supply log books are. We've been told that we don't
25 have it, but specifically they have never put it in

1 writing. And we disposed them. We don't have them or
2 whatever. I actually have a well log book for one,
3 New River, that's dated back in the 1980s.

4 **MR. ENSMINGER:** Well, another point is --

5 **MR. PARTAIN:** That was given to me by Bert.

6 **MR. ENSMINGER:** -- the fact that these standards
7 are not done away with, these regulations were not
8 canceled, until 1988? Gee, all that stuff that was
9 taking place in the 1980s, which is within their
10 document retention period of requirements for
11 maintaining this stuff, and especially under CERCLA,
12 then if they were doing their own testing in the
13 1980s? Some of those results should be in their
14 files, right? If they were going. I guarantee you
15 they weren't. That was just nice stuff to put on the
16 shelf for inspections.

17 **DR. PORTIER:** Okay. I got your point. And we
18 will look at it and consider it.

19 **MR. STALLARD:** Thank you. We made the segue,
20 when Morris stepped away from the podium into CAP
21 concerns. And so we have --

22 **CAP UPDATES/COMMUNITY CONCERNS**

23 **MR. ENSMINGER:** We've already heard one.

24 **MR. STALLARD:** I think we have. So we have
25 another seven or eight minutes. Tom, on the phone or

1 Mary, I invite you to give us an update on things
2 you've been working on or other concerns or issues you
3 might have.

4 **MR. TOWNSEND:** I'm ready to speak.

5 **MR. STALLARD:** All right. Go ahead, Tom.

6 **MR. TOWNSEND:** I've claimed disability from the
7 Veterans' Administration because as a regular officer
8 of the Marine Corps, I cannot claim against the United
9 States without their consent. So for seven years I've
10 been going to the VA processors for neuropathic
11 disability. I've been to 15 outside neurologists who
12 gave me neuro history, neuro conductivity and all this
13 stuff, and my feet are failing. The nerves are
14 disconnected, and anyway, I have ^ down at Louisville,
15 and the people there have got all my claims despite
16 they say that nothing has occurred and that I do not
17 have any symptoms, and that I have been examined by a
18 doctor that I've never seen. So I just -- I'm just so
19 tired. I mean, that's my personal thing. The fact
20 that I lost my wife and my child to Camp Lejeune is
21 galling. And to make matters worse, friends with a
22 Marine, a former Marine, in Mobile that lost three
23 children that were born at Camp Lejeune. Clearly
24 there's something going on -- clearly there's
25 something wrong with the system of claimants through

1 the VA. I mean, I want to -- they seem to want to
2 deny everybody. I don't know how many turned-down
3 veterans who were revealed but I imagine they're going
4 to discount all of them. I don't know.

5 **MR. STALLARD:** Tom, were we successful in
6 connecting with you at the break to get your claim
7 number?

8 **MR. TOWNSEND:** Yes.

9 **MR. STALLARD:** Well, then we would hope to hear a
10 different outcome at the next meeting in terms of
11 however that evolves. And thank you for sharing that.
12 I would say that what we have seen since the VA has
13 joined the CAP is a tremendous change in the direction
14 to address the needs of our veterans and family
15 members. So thank you for sharing your situation with
16 us.

17 **MR. ENSMINGER:** And I want to thank ATSDR for
18 getting this word out to -- this information out to
19 the Veterans' Administration so that they can proceed
20 with these veterans' claims. These guys, like I said
21 earlier, a lot of these folks are terminally ill.
22 They're going to die sooner than later. A lot of them
23 just want the peace of mind before they die that
24 they've achieved that step, and attained their VA
25 benefits, knowing that their surviving spouses are

1 going to have some of that to fall back on.

2 A note on that, yesterday morning at 4:00 a.m.,
3 we lost Frank Rakowits(ph). He was a metastasized
4 kidney cancer victim. Frank died yesterday morning at
5 4:00 a.m. And yesterday we also lost Mary Freshwater.
6 Mary Freshwater was featured in the documentary, and
7 she was given testimony to the NRC committee, and she
8 was describing the death of her two infant sons. And
9 she held up a little blue jumper and the box of
10 memories that she had for her children that she lost,
11 that were conceived and born at Camp Lejeune. Mary
12 died yesterday of AML.

13 This is not a pleasant job. I know you all deal
14 with facts and figures. And I deal with the personal
15 aspect of this. I got involved in this mainly because
16 of my daughter, who died. She was the only one of my
17 four children to be either carried, conceived or born
18 at Camp Lejeune.

19 After my deep involvement in this and realizing
20 how big this thing was and how many potentially
21 exposed and affected people there were, I tell you
22 what, this -- you get to know these people, you cry
23 with them, and every one of them that dies you die a
24 little bit each time. And this is not fun.

25 And I mean, to look at the misconduct of the

1 people that we served and the leadership, that the
2 misconduct that they're demonstrating is appalling.
3 Their lack of cooperation with investigating bodies,
4 such as ATSDR. I mean, the track record goes on and
5 on and on, and we got letters from ATSDR in complaint
6 to the Department of the Navy that they weren't
7 cooperating with ATSDR and providing them the data
8 they need. This is repeated. This isn't just one
9 isolated letter.

10 But yet these people can come out in the media
11 and say that the health, safety and welfare of our
12 Marines and their families are our first priority.
13 Bullshit. And people wonder why I cuss? I mean,
14 these people are lower than low.

15 But with that being said, I got a phone call
16 right at the beginning of the meeting. It was my
17 wife's administrative assistant, calling me from her
18 school. My wife's father died this morning, so I've
19 got to leave; I won't be here after lunch. I'm sorry,
20 but I got to go.

21 **MR. STALLARD:** Thank you, Jerry. Dr. Portier?

22 **DR. PORTIER:** Yeah, two quick things. One is I
23 also have to leave after lunch so I will not be here
24 after lunch but Tom Sinks, my deputy, will be here to
25 answer any questions for you. The other thing is, for

1 the rest of the audience, I did hand out to the CAP a
2 letter from me to General Allison Hickey at the
3 Department of Veterans' Affairs, and if you'd like a
4 copy of this you are welcome. I've got four left
5 here. If you'd like a copy, please pick it up. If
6 not, please ask my chief of staff, Sasha, and she'll
7 make sure she gets you a copy.

8 **MR. ENSMINGER:** General Hickey?

9 **DR. PORTIER:** Yeah, General Hickey.

10 **MR. STALLARD:** And with that, thank you very
11 much. We're going to adjourn now for lunch. Be back
12 in an hour, please, 1:15.

13 (Lunch break, 12:00 p.m. until 1:15 p.m.)

14 **MR. STALLARD:** Okay. Welcome back, please.
15 We're going to get started. Do we have our colleagues
16 on the phone at the moment? Tom? Sandra? Not yet,
17 okay. I'd like to thank you all for abiding by our
18 guiding principles that we established this morning.
19 I think we're doing a good job in that. I would
20 suggest that, since we're coming back from lunch, you
21 please turn off your cell phones at this time or put
22 them on silent.

23 Okay, I know some of you may need to leave
24 earlier this afternoon as we were just notified that
25 there is a severe weather alert from now until seven

1 o'clock tomorrow morning.

2 **MR. MASLIA:** It's because it's my wife's
3 birthday. It always happens on my wife's birthday.

4 **DR. WALTERS:** Well, your wife needs to change her
5 birthday.

6 **MS. BRIDGES:** Sandy Bridges on line now.

7 **MR. STALLARD:** Thank you. Welcome, Sandy.

8 **MR. PARTAIN:** And Chris, I --

9 **MS. BRIDGES:** We were having a problem there
10 'cause I couldn't get through.

11 **MR. STALLARD:** Okay, well, you're through right
12 now. And we're about ready to resume, thank you.

13 **MR. PARTAIN:** And with that note, if we can get
14 through -- if we get through earlier --

15 **MS. BRIDGES:** Chris, you look nice in that suit.

16 **MR. PARTAIN:** -- move quickly. If we move
17 quickly and get done early I have no opposition 'cause
18 I've got to --

19 **MS. BRIDGES:** You're looking older from what you
20 did six years ago.

21 **MR. STALLARD:** Well, thank you so much. For the
22 viewing public, please acknowledge. I had more hair
23 then, too.

24 So the point is that what we'd like to do is get
25 through this afternoon. We're not going to rush it

1 but if you need to leave in order to make your flights
2 and be safe in getting to your destination, please do
3 so. So without any further dialogue, let's move on to
4 our afternoon presentation with Perri.

5 **MS. RUCKART:** Welcome back from lunch. Before I
6 get started I just want to introduce to you two, okay,
7 three ORISE fellows that we have working with us.
8 They're going to be helping us with the health survey,
9 entering the surveys that we received from the last
10 batch of the registrants and also working on the male
11 breast cancer study. I'll just introduce them -- I
12 need you to stand up. We have Toni Lombardi and
13 Crystal Lane and Kirsten Simmons.

14 **MR. STALLARD:** And ORISE stands for?

15 **MS. RUCKART:** Oak Ridge something.

16 **MR. MASLIA:** Oak Ridge Institute for Science and
17 Education.

18 **MR. STALLARD:** Good. Thank you.

19 **MS. RUCKART:** So I think everyone has met them
20 because they've been escorting everyone from the
21 visitors' center. This is their formal introduction.

22 **MR. STALLARD:** Welcome. Thank you.

23 **UPDATES ON HEALTH STUDIES:**

24 **BIRTH DEFECTS, CHILDHOOD CANCERS**

25 **MS. RUCKART:** So just want to update you on some

1 things where we are with the epi studies. It's rather
2 brief and you can ask me any questions that you have.
3 Okay, so everyone is familiar with our study of birth
4 defects and childhood cancers. In case there's some
5 people who are watching us who may not be familiar, I
6 have this slide up.

7 So where we are currently, the draft of the final
8 report and results is currently undergoing CDC
9 clearance and is on track to be released in the spring
10 of 2013.

11 **ADVERSE PREGNANCY OUTCOMES**

12 Now, as you know we're also re-analyzing the 1998
13 study on adverse pregnancy outcome. We're re-
14 analyzing it because, during the water modeling
15 process, it came to our attention that births before
16 1972 at Holcomb Boulevard were incorrectly classified
17 as unexposed so we're going to use the information
18 from the water modeling to re-analyze the study, and
19 also because now we're going to have information on
20 the estimated levels.

21 So you can see here a comparison of the exposure
22 status from the previous exposures estimate before
23 water modeling, when there was that error, and also
24 the current exposure assessment. And the main
25 difference is that, based on the new exposure

1 information, there are almost 1,200 fewer people
2 categorized as unexposed. So that gives us -- thank
3 you. And that gives us over 1,300 additional people
4 categorized as exposed to TCE, 'cause they lived at
5 Holcomb Boulevard and received Hadnot Point water
6 before June 1972.

7 The reason why I say there's almost 1,200 fewer
8 people as unexposed and there's 1,300 more exposed is
9 'cause there were some differences with Tarawa Terrace
10 as well. But the bottom line is we can now evaluate
11 TCE more thoroughly because we have a lot more people
12 in the exposed group, whereas before there was like
13 31, whereas now we have over 1,300.

14 So anyway we've begun the data analysis for the
15 study. We're looking at several outcomes: preterm
16 birth, term low birth weight, small for gestational
17 age and mean birth weight deficit. As I said, the
18 analyses are currently being conducted, and once we're
19 done with the other two studies, the birth defects and
20 the mortality study, then we'll focus more on this
21 study. And so we expect this one to be released in
22 summer of 2013, after the other are two, which are our
23 main priority. Any questions about this one?

24 **HEALTH SURVEY**

25 Okay, just an update on the health survey. As

1 you know, we have about 76,000-and-change surveys
2 completed. That's a 27 percent response rate. Well,
3 last time we provided information on the number of
4 conditions we're confirming. And I wanted to let you
5 know that the numbers have changed since last meeting;
6 they have increased. That was because it came to our
7 attention that a few cancers were inadvertently left
8 off of the list of diseases that we want to confirm.

9 So at this point we're continuing the process of
10 confirming the self-reported cancers and other
11 diseases by obtaining medical records and looking at
12 cancer registries, both VA and state. So this is
13 22,429 conditions in 16,642 people. As you can see
14 there that's a little over 8,100 cancers and over
15 14,300 other diseases.

16 Now since I last reported this, throat and
17 pharyngeal and windpipe laryngeal cancers were added
18 and prostate were added. They were inadvertently left
19 off the list. But the list of the other diseases has
20 not changed. And now Frank will update you on the
21 mortality study.

22 **MORTALITY STUDY**

23 **DR. BOVE:** Okay. Right now with the mortality
24 study, it has gone through a peer review process with
25 peer review comments back. And I'm reviewing the peer

1 review comments and doing some additional analyses.
2 And hope to finish with the peer review process either
3 at the end of this month or probably somewhere by the
4 middle of next month.

5 The size of this study is rather large. This is
6 the number who were either at Camp Lejeune or Camp
7 Pendleton during 1975 to '85, anytime during that
8 period, and who started their active duty service
9 either in '75 or later. So this is a smaller group
10 than the entire dataset that we have. The entire
11 dataset we have includes people who started before '75
12 and includes people all the way up to '87. But we
13 focused on these people because we don't know, for
14 those who started before '75, we don't know where they
15 served, how long they served. And for those after
16 '85, the exposures were kind of low. So we decided to
17 focus on these, these cohorts.

18 We have 18,166 deaths among these two cohorts.
19 These are deaths occurring from 1979 onward. Deaths
20 occurring before 1979, we could not capture their
21 cause of death through the National Death Index so
22 they're not included in this study. But we do have,
23 as I said, 18,166 deaths, 2,086 list a cancer as an
24 underlying cause, and some of course had multiple
25 cancers, and so we have 2,659 total cancers listed as

1 underlying or contributing causes. Here's a look at
2 some of the demographics.

3 **MR. PARTAIN:** Frank, on the data for that. You
4 may have said it when I stepped out for a phone call,
5 but when was the last time that you all did a refresh
6 as far as an end date for the death certificates?

7 **DR. BOVE:** Let me see, 2008.

8 **MR. PARTAIN:** 2008? Are you all planning to step
9 back and see if there's any more data from 2009, '10,
10 '11?

11 **DR. BOVE:** No.

12 **MR. PARTAIN:** No?

13 **DR. BOVE:** No, this study has finished its data
14 collection quite a while ago.

15 **MR. PARTAIN:** Okay.

16 **DR. BOVE:** The only thing that we could do is
17 probably ^. I'll explain that in the next slide. Is
18 that this is a young cohort. As you can see, very few
19 are over the age of 55 as of 2008, when we stopped
20 data collection. So this study probably should be
21 revisited in ten, 15 years, when people are older.
22 It's something we might decide to do. Yeah.

23 **MS. RUCKART:** But the health survey goes up
24 through whenever they filled out our health survey,
25 2011 or 2012, so as you know we do have next of kin

1 filling out surveys for people who are deceased, so
2 we're getting some information that way. That's a
3 little more recent.

4 **DR. BOVE:** Right. But we're talking about the
5 mortality study that's --

6 **MS. RUCKART:** I know, but I just -- that's the
7 general.

8 **DR. BOVE:** So. And as you can see it's almost
9 entirely male, and it's mostly enlisted, the ^ of
10 racial composition's not that different from the
11 general public. And we don't have much in the way of
12 lost to follow-up. However, I do notice, when I look
13 through the data, that those who were killed in the
14 Beirut bombing in 1982 were not caught up -- not found
15 in the National Death Index. Going through the Social
16 Security administration databases as dead; all I have
17 is the last date of the DMDC, so that's interesting.
18 Why that happened, I don't understand. But if they
19 were lost to follow them, it does not mean they're out
20 of the study. They stay in the study, contributing
21 person years and that's what the bottom line there is,
22 until I have the last date I know that they're alive.
23 And that might be the last date in the DMDC data that
24 we have, which goes up to the end of '87. So they do
25 contribute person time for that period and then they

1 stop, when I have no more information on them.

2 Just some quick things about the study. Looking
3 at a whole slew of cancers, although our primary focus
4 is on those cancers that have been pretty well linked
5 to TCE and PCE and benzene, and those include, of
6 course, kidney cancer, hematopoietic cancers like non-
7 Hodgkin's lymphoma, leukemia, multiple myeloma. We're
8 also looking at liver cancer, of course, and probably
9 for PCE there's esophageal cancer, bladder cancer. So
10 those are the ones that we're primarily focusing on,
11 but then we're looking at a whole slew of other
12 cancers that have been suggested, at least, to be
13 linked to solvents. And as well we're looking at ALS
14 and MS. We're looking at -- we're going to try to
15 look at Parkinson's but I have a feeling -- we have
16 very few numbers of that. We have very few numbers of
17 aplastic anemia in the dataset, so it's going to be
18 difficult if not impossible to look at that. Male
19 breast cancer, we have very few -- we'll not be able
20 to look at that in this study. So there's some
21 cancers we can't look at and some diseases we can't
22 look at, because it's, again, it's a young population
23 and there are very few of those diseases in the
24 dataset.

25 The exposure assessment, first of all, the

1 comparisons that we're doing in this study is first
2 comparing Camp Lejeune and Camp Pendleton and the
3 general population. And then we're comparing Camp
4 Lejeune to Camp Pendleton, and then we're going to do
5 an internal analysis in Camp Lejeune itself and using
6 the monthly estimates that Morris's team has given us.

7 And it's kind of a complex exposure assessment.
8 We have to take into account whether they are married
9 or single, whether officer or enlisted, whether female
10 or not, and even some of the units who have moved
11 around, and we have to take that into account. And we
12 have some information on where units were barracked.
13 But again that's -- a lot of that is historical
14 recollection of retired Marines; there's no hard data.
15 So we've been able to use that data but there is some
16 uncertainty in the exposure sets. So that's the
17 mortality study. There's more to be said, I guess,
18 about it, but if you have any questions I can go into
19 it.

20 The next part of this study, which I'm just
21 starting to really work on, is looking at the civilian
22 workers. And preliminarily, I've looked at all the
23 workers that are in our database from '72 to '85. But
24 what we probably will focus on are those who started
25 in '73 because I can determine when they started. I

1 can determine that they started in '73 or later. If
2 they're in the database in '72, I don't know when they
3 started. And so again, the fact of the situation of
4 not knowing their history. So this'll be a smaller
5 number.

6 But this is the situation right now, the number
7 of deaths. Again, these are deaths since 1979 to
8 2008, using the National Death Index for the cause of
9 death. And it's a smaller dataset but we have a lot
10 of deaths, and the reason we have a lot more deaths
11 than you might expect, given the previous slide, is
12 because this is a much older group. The median age is
13 in the 60s, a whole lot of them, most of them, are
14 over 55. So this is a smaller population, very much
15 smaller. It was one-tenth the person time or even
16 less. But because they're an older population there
17 are more deaths.

18 So again, these studies need to be revisited,
19 especially the Marine study. This one, we have now an
20 older population. This one probably will give us more
21 interesting results probably. Let's see, is there
22 anything else to say? Any questions about the
23 mortality study?

24 **MS. BLAKELY:** I don't have one. You have one,
25 Mike?

1 **DR. SINKS:** You mentioned the exposure assessment
2 for the active military. What about for the
3 civilians? How are you going to consider exposure?

4 **DR. BOVE:** For civilians we're assuming that all
5 the civilians are working on main side. We have no
6 information as to where exactly they worked. But in
7 talking to the Marine Corps, they said that that was a
8 -- that's a good guess. In both studies we have
9 information on their occupation. In the mortality
10 study I did take into account whether they were
11 solvent exposed, whether they were hospital workers or
12 whether they were food workers, and I will use the
13 occupational data as well for this study.

14 **MS. BLAKELY:** There were also a lot of office
15 workers like in the, I don't know, my mother-in-law
16 was like a secretary in one of the offices, and my
17 husband worked in the print shop.

18 **DR. BOVE:** Right. They're all in this study.

19 **MS. BLAKELY:** Okay.

20 **DR. BOVE:** Yeah. I'm just saying that we take
21 that into account as additional exposures besides the
22 drinking water. So for Jerry, in one of the meetings,
23 was worried about the cooks, for example, in the
24 Marine Corps, so I did look at that. I had
25 information on whether they did food service work. I

1 incorporated that into the models and I'll do similar
2 with the civilian workers.

3 **DR. SINKS:** Any other questions? Okay, Eddie.

4 **MALE BREAST CANCER**

5 **MR. SHANLEY:** Hello, my name is Eddie Shanley and
6 I'm going to be providing an update on the male breast
7 cancer study. Since the last CAP meeting, the
8 protocol has been approved by ATSDR. The process
9 involved conducting an external peer review as well as
10 obtaining the agency clearance. The study must also
11 be approved by the CDC and the VA Institutional Review
12 Board. That's to maintain that we adhere to record
13 security and protection. So approval from both IRBs
14 is pending.

15 The data use agreement between the agencies. The
16 data use agreement basically establishes the IT
17 infrastructure and the protocols that we're going to
18 use in order to image the data and ensure its
19 security. So we are in the process of finalizing that
20 document as we speak. And I am anticipating that we
21 will have the data use agreement approved by the end
22 of January.

23 Once both agencies sign off on the data use
24 agreement, then the pending IRB approval from both CDC
25 and the VA will be moved to approval status and we can

1 begin the data collection process.

2 So the data collection will of course involve
3 obtaining the electronic records from the VA. It will
4 also involve obtaining data from hard copy personnel
5 records that are maintained at the National Personnel
6 Record Center. Based on a preliminary assessment that
7 I did back in May of 2012, I'm anticipating that the
8 time it's going to take to collect the data, and that
9 means abstracting it from the records, entering it in
10 and cleaning it and preparing it for analysis, it's
11 probably going to take around five months.

12 At which time, once all the data's been entered
13 in electronically and been prepared for analysis, I
14 think analysis will take about three months, at which
15 point we'll begin writing the final report. And as
16 you can see from the slide there, the second to last
17 says the report will need to be peer reviewed and
18 cleared through the agency. And right now we're on
19 track to release the report in the spring of 2014.

20 **MR. STALLARD:** Any questions for Eddie?

21 **MS. BLAKELY:** No, but I'm sorry, Frank. I
22 brought the rest of the infant death certificates over
23 there, so we would like your opinion on those.

24 **DR. BOVE:** Sure.

25 **MS. BLAKELY:** Thank you.

1 **MR. PARTAIN:** Have you -- where's the number of
2 potential male breast cancer cases you've identified
3 to date so far? Has that changed?

4 **MR. SHANLEY:** Well, the numbers we have were
5 provided to us by the VA, and those were the same
6 numbers we reported at the last CAP meeting.

7 **MR. PARTAIN:** Sixty-nine, I think?

8 **MR. SHANLEY:** I think it was 61. But that's not
9 a final as far as the number that will be included in
10 the study based on age criteria that we'll be using.
11 If those individuals were born after January 1st,
12 1969, we'll be excluding them 'cause they won't be of
13 age and in service during the period of contamination.
14 So again, we hopefully will be receiving that data and
15 we'll have an update by the next CAP meeting.

16 **MR. STALLARD:** Thank you, Eddie.

17 **OPEN DISCUSSION OF ISSUES RAISED DURING MEETING**

18 **MS. BLAKELY:** Can I mention one thing? Mary
19 Freshwater, she just passed away this morning --

20 **MR. PARTAIN:** Yesterday.

21 **MS. BLAKELY:** Oh, yeah, yesterday. She shared
22 with me the last time I spoke with her that she also
23 lost a set of twins. And she has two living children,
24 and her daughter has lupus. So that water has
25 decimated that entire family. And that's just one

1 family.

2 We deserve the truth. We don't want money. We
3 don't want revenge. We want the truth because the
4 truth empowers us to deal with whatever we have going
5 on with our health and with our families.

6 And I love the Marine Corps and I believe in
7 everything that my father stood for and that I grew up
8 next door to. We just want the truth and we want to
9 give like those infants over there some dignity and
10 honor that they existed. They mattered.

11 Most of them would have grown up and become
12 Marines. That's how it works in the Marine Corps.
13 When your family's in the Marine Corps, your entire
14 family grows around it and becomes Marines. I could
15 have been one but I couldn't graduate high school, and
16 I didn't know why. I didn't know why I couldn't until
17 I was in my 40s. That's not right. That's not who
18 the Marine Corps is. All we want is the truth.

19 **MR. PARTAIN:** Frank, on the death certificates
20 there, that Mary did the research on, I mean what is
21 some way that you guys could use that or what are some
22 thoughts on those?

23 **DR. BOVE:** Well, when I reviewed the last group,
24 I tried to find if there were any interesting
25 conditions in there that I know -- I suspect might be

1 related to solvents such as heart defects, neural tube
2 defects, clefts.

3 **MR. PARTAIN:** Anencephaly?

4 **DR. BOVE:** Anencephaly's mentioned.

5 **MR. PARTAIN:** 'Cause there's quite a few of those
6 that I remember seeing.

7 **DR. BOVE:** Anencephaly would be a reason for
8 infant death, still births. Yeah, I'm looking for
9 that for sure. Anencephaly I'm looking for. But I'm
10 looking for all the ^, spina bifida, anencephaly as
11 well.

12 **MR. PARTAIN:** I guess my question would be,
13 'cause there's quite a few, and I --

14 **DR. BOVE:** Another thing is that, if they don't
15 die at birth but they die within a year, let's say,
16 then I'm interested in other things, too, any
17 childhood cancers.

18 **MR. PARTAIN:** I think I saw one with optical
19 cancer at one point. But as far as how -- I mean, how
20 would ATSDR -- I'm just trying to guess or understand
21 how data like that, where would you put that data?
22 'Cause it is a unique subgroup. I mean, you're
23 dealing with people who essentially didn't get a life.
24 They're dead before they're, you know, before anything
25 took off. I mean, what does that -- and what does

1 that mean?

2 **DR. BOVE:** I'm not sure. I mean, that's what I'm
3 saying. What I'm saying is basically the case study,
4 looking at the kinds of diseases from the death
5 certificate. But beyond that, I don't know. These
6 are all the -- why don't you tell me how you came
7 about this information. That might help.

8 **MS. RUCKART:** We were wondering if these were the
9 original copies -- your original copies or if this was
10 a copy for us to keep and you have your own set?

11 **MS. BLAKELY:** Well, what caused me to originally
12 start with them was I was looking for some way I could
13 help, because, you know, Mike and Jerry, they do like
14 on-the-ground work. And I wanted to make a difference
15 because I do care about this community. And my father
16 was diagnosed with Agent Orange lung cancer, and he
17 still lives in Jacksonville.

18 And he was supposed to have some testing done.
19 And I hadn't made a return trip to Jacksonville since
20 my mother's funeral. I believe she died because of
21 the water. So I went back 'cause he was having some
22 testing done.

23 And I made friends with Jessica Ensminger,
24 Jerry's daughter, on FaceBook. And she shared with me
25 that there were some infant graves in the city

1 cemetery there, and that they believe that those
2 babies died because of the water. And since it's
3 right across the street from where my mother's buried
4 in the military cemetery, I wanted to go there and
5 just see them. And so I did.

6 And there is -- there's a baby garden in ^
7 Cemetery. And towards the back there's some buried by
8 what looks like a ditch, and you can see how the water
9 rises and covers their gravestones. You can tell that
10 they've been disturbed by the water. And Jessica
11 shared with me that there were some other graves in a
12 bigger cemetery in Onslow County. And she told me how
13 to get there, and so I went there with my sister,
14 Marie. And sure enough, they have their own baby
15 garden. And on the headstones are the ranks of all
16 their fathers.

17 And we were standing there looking at them and a
18 caretaker drove up on his lawnmower, he was mowing the
19 lawn, and he said what're you doing and we told him.
20 And he said you want to see more baby graves? And I
21 said, more baby graves? And he said yeah, they're up
22 there towards the road, by the line at the pine trees,
23 but you gotta really look.

24 And we couldn't, I mean, the way that the
25 headstones are, they're on the ground, so we couldn't

1 even see that there was anything up there. He said,
2 well, you gotta really look, and he said, get on my
3 tractor and I'll take you up there. And so he gave us
4 a ride up there. And he said, now, you're going to
5 have to kick the ground and knock the grass out of the
6 way because the markers aren't regular headstones.
7 They're metal plaques that the funeral homes delivered
8 the babies with. They're just there for temporary
9 purposes. Excuse me, has anybody got a tissue? They
10 were just placed there for temporary purposes -- thank
11 you. Until the families could either take them back
12 home from where they're from or move them in the
13 cemetery and get them a headstone.

14 Well, those parents never came back. They either
15 couldn't afford a headstone or to move their child or
16 they were too heartbroken to come back and get them.
17 And if you'll notice a lot of them don't even have
18 names because the parents were so devastated they
19 didn't name them.

20 And so I started walking up that row, and there's
21 two rows. And sure enough, I had to kick dirt and
22 grass out of the way but they were there, little metal
23 plaques. And I had to be careful because some of them
24 were broken into pieces. And you had to fit them
25 together to read them, and some of them you can't read

1 at all.

2 And I'm a person of faith. I live my life by
3 faith; I trust God. And so I pray when I'm in a
4 situation like that. And I asked him if he wanted me
5 to do anything, however little it could be, because I
6 have -- I am not an educated person.

7 And I was led to go to the register of deeds in
8 Onslow County and make copies of all the children's
9 death certificates of children under the age of two,
10 who had any relationship to the base. Well, I didn't
11 know what I was taking on. I thought I could just go
12 there and ask them to make me copies. But when I went
13 over just to look at the death books, there were just
14 so many children that I realized that would cost a lot
15 of money.

16 And so I started trying to think of ways to make
17 copies. And I realized there had to be some sort of
18 scanner of some sort that I could scan them with, and
19 then load them on the computer. And so I did that. I
20 bought myself a hand-held scanner and I scanned.

21 I scanned up to from 1950 to 1963 or -4. And
22 then I had to go home. And I decided I would be back.
23 And I loaded them up onto my computer, a Toshiba, old
24 one, and I was trying to organize them and clean them
25 up to make it something that somebody could look at.

1 And my computer died. And so all my work was lost.
2 So I got a Dell and loaded them on that, a brand new
3 one. And I was trying to organize them and my
4 computer went down again. All my work was gone. So I
5 took it back 'cause it was brand new, and got another
6 Dell. Loaded them up. That computer went down,
7 software problems. All my work was gone.

8 Then, I realized that I wasn't going to be able
9 to load them up on a computer and I decided to print
10 them out. So I took them upstairs to our desktop and
11 loaded them on that one. And I also loaded them onto
12 a Windows like live account, trying to share them with
13 Jerry and Mike. And my husband bought me a program
14 like for Adobe Acrobat. I loaded it on there. I also
15 had g-mail accounts and I tried to email them, like an
16 idiot, unsecured. And I just couldn't figure it out;
17 I'm not a computer literate person. So anyway I took
18 them upstairs and loaded them on the desktop upstairs.

19 And then I tried to print them out with our, you
20 know, printer. And for some reason my printer
21 wouldn't work. And so then I started getting a little
22 suspicious there might be something funky going on.
23 You know, I'm not stupid, I'm just -- I just have a
24 learning disability. And so I decided I'll just take
25 them to a printer and print them out. And so I did.

1 And then I decided that I should make a copy and
2 keep one for myself and bring one to you. And so I
3 tried to do that. And I don't know, I'm an observant
4 person. I just -- I don't know how to put this
5 without sounding paranoid but I felt like I was being
6 followed.

7 And so then I gave up trying to make copies, and
8 I took what I had to my church because I was afraid to
9 keep them in my house with my family. And my church
10 has had them for three months, waiting for this.

11 **MR. STALLARD:** So these are the only copies.

12 **MR. PARTAIN:** One thing -- yeah those are the
13 copies.

14 **MR. STALLARD:** Those are the copies.

15 **MR. PARTAIN:** Now one thing, Frank, to answer
16 your question directly. A lot of this came up in 2010
17 as Jerry and I were talking to community members
18 across the country. We kept hearing the same things
19 over again about women losing their children and
20 stillborns and things like that. And then the baby
21 having came back again 'cause that was told to us by
22 one of the parents. And actually Mary Freshwater was
23 one of the first ones that told us about the
24 graveyard. My understanding, a lot of families, like
25 Mary was indicating here, that the Onslow County

1 Cemetery is not the only place where there are groups
2 of babies buried, that some families sent them off to
3 New Bern and some of the other surrounding cemeteries.

4 But, you know, the big thing comes to me as far
5 as the death certificates and looking at this
6 previously, you know, under an analyzed group of
7 people who really knock out -- getting a start. And
8 that's how this all got started.

9 **DR. BOVE:** Right. And previously, the adverse
10 reproductive outcome study was done where we were
11 analyzing the fetal deaths. And probably because of
12 some problem with the health department in terms of
13 deciding what was a fetal death and what was a
14 stillbirth whatever, I'm not sure what the problems,
15 but we had it under account. So we did look at it in
16 that study. I looked at the previous batch that Mary
17 gave me, and a lot of them are preterm. And so we are
18 looking at preterm birth in the ^ so we can address
19 that, and we are looking at neural tube defects and
20 clefts and heart defects so that's, you know, I did
21 see some heart defects in the previous batch.

22 **MR. PARTAIN:** Now, there's a lot, too, they have
23 --

24 **DR. BOVE:** And I'm looking only actually for
25 things like, for example choanal atresia which was

1 found in Woburn, three cases and none expected, so it
2 stood out. And if I see something like that, if I see
3 something where it's a rare defect and -- if I see
4 choanal atresia in particular, that's something that
5 would raise a flag for me. But that's basically what
6 I did the previous times. I looked through them, see
7 if there are any conditions that stood out. Get a
8 handle on what dominant cause of death was. As I
9 said, ^ was a major cause. But okay, at least we're
10 looking at that in the study and the analysis.

11 **MR. STALLARD:** Tom would like to have a comment,
12 but what is choanal atresia?

13 **MR. PARTAIN:** That's one of the outcomes.

14 **DR. BOVE:** It's a nasal defect that's related to
15 other heart defects, major heart defects. So it was
16 interesting when we -- we didn't see it. It was the
17 CDC birth defects group that was involved with
18 Massachusetts Health Department that looked at birth
19 defects. The study was never published. There were a
20 lot of small numbers and there were a lot of problems.
21 But one thing that did stand out was this choanal
22 atresia finding. And you know, again, we don't know
23 what to make of it. It's a very rare birth defect.
24 And as I said, if I see something like that. We were
25 hoping to look at that in the birth defects study that

1 Perri discussed. It's not unusual and it's a very
2 rare defect.

3 **MR. STALLARD:** All right. Thanks.

4 **MR. FLOHR:** Excuse me, Tom, we're going to have
5 to make our way to the airport. Glad to be here again
6 as usual. Glenn, you might want to mention when you
7 get back, the latest estimate we got from the Navy/
8 Marine Corps of the number of servicemen who served at
9 Camp Lejeune during that 30-year period from '57 to
10 '87 was 630,000. We're probably going to ask them to
11 see if they can re-compute that number based on Hadnot
12 Point ^ 2003.

13 **MR. PARTAIN:** So you're saying that days between
14 1957 and what?

15 **MR. FLOHR:** Well, currently it's '57 to '87. But
16 now Hadnot Point is August of 1953 at that time. So.

17 **MR. MARKWITH:** Yeah, I talked to them at the
18 lunch break and gave them the heads up.

19 **MR. FLOHR:** Okay, great. Thanks.

20 **MR. STALLARD:** Great, and so I take it you're
21 going to depart now, right?

22 **MR. FLOHR:** Yes.

23 **MR. STALLARD:** All right. Safe journeys. We
24 hope you get home safely. All right, Tom?

25 **DR. SINKS:** Yeah, so I have four things to say.

1 Let me just thank our colleagues from the VA. I
2 remember the first meeting where we had Terry and Brad
3 here. And how beaten up they must have felt from the
4 experience, and yet they kept coming back and they
5 kept coming back and they kept coming back. And, you
6 know, I would love to be able to say that every
7 community ATSDR works in, we're in a position where we
8 can deliver the goods. And these folks have helped,
9 and you, have helped deliver the goods to a lot of
10 people who are going to get medical care because of
11 this. I just think that it's terrific the support the
12 folks from the VA have gotten and the support from the
13 CAP. So just a word of appreciation, and safe journey
14 home.

15 **MR. FLOHR:** Thanks, Tom.

16 **MR. PARTAIN:** Thank you, Brad and Terry and
17 Wendi.

18 **DR. SINKS:** So three things regarding this last
19 discussion. Mary, first of all, thank you for sharing
20 the story.

21 **MS. BLAKELY:** Sorry I went on.

22 **DR. SINKS:** It may have been difficult for you to
23 describe it. I think it's really valuable at least
24 for me and I think for others to hear the experience
25 that you had and what you went through, and we really

1 appreciate it. The second one is it's not clear to me
2 if you want us to make copies for you and provide --

3 **MS. BLAKELY:** Yeah.

4 **DR. SINKS:** -- them back so you will have them
5 because I always worry about the dog chewing up the
6 files.

7 **MS. BLAKELY:** Yeah, I would like copies.

8 **DR. SINKS:** -- and I would hate to see your files
9 being chewed up.

10 **MS. BLAKELY:** Yes.

11 **DR. SINKS:** -- so if you want us to make you
12 copies --

13 **MS. BLAKELY:** Definitely.

14 **DR. SINKS:** -- and give it back to you, we will
15 do that.

16 **MS. BLAKELY:** Yes.

17 **DR. SINKS:** Okay, so that's a yes so that's an
18 action item.

19 **MR. PARTAIN:** And while you're making copies, I'd
20 like to get a formal copy, too. While you're making a
21 copy, I would like a formal copy.

22 **DR. SINKS:** So make two sets of copies. The
23 third thing is, I think, what I would like to propose,
24 and these guys next to me may push on me, is that by
25 the next CAP meeting, we will get back to you in terms

1 of how you might use them or how you might not use
2 them, so at least we don't leave this as an open
3 issue. There may be ways that we could use it in an
4 objective way, and if we can we'll take a look at
5 them. But I think we'll, by the next CAP meeting,
6 we'll try to get you at least some response back about
7 how the CAP can use these.

8 **MS. RUCKART:** Instead of copies, would you want
9 just scanned images or you want copies?

10 **MS. BLAKELY:** Scanned.

11 **MR. PARTAIN:** If you guys are going to put it in
12 electronic format, just put it on a DVD for us. If
13 you guys -- whatever is convenient for you guys.

14 **MR. STALLARD:** All right. We will deliver the
15 goods.

16 **MR. PARTAIN:** And this pivoting off of time here,
17 when you're talking about the different agencies and
18 stuff, you know, as a CAP member, I would very much
19 like to see the presence on the part of the Navy and
20 the Marine Corps here. Not to say that Glenn is not
21 worthy of that, but an actual presence from
22 headquarters of the Marine Corps/Navy, preferably not
23 JAG lawyers. Just, you know, people who can answer
24 questions and take it straight back is, with anything
25 when you're going through note-takers and other

1 people, there's things that are lost in translation.
2 And this is serious enough, the VA's got people here;
3 they've shown their dedication. The Marine Corps
4 needs to put some people back here, too. They've been
5 absent too far, too long. And that's -- I'm making
6 that as a member of the CAP request.

7 **MR. STALLARD:** Mark? Well, it appears to be that
8 there are no more questions for the health studies, I
9 take it?

10 **MR. MASLIA:** A request from Glenn to carry back
11 to the Navy/Marine Corps 'cause you've been asked to
12 look at this water supply 1963 document. Did we get
13 the entire chapter?

14 **MR. PARTAIN:** I've got it. I'll email it to you.

15 **MR. MASLIA:** Yeah, you do? Okay.

16 **MR. PARTAIN:** If you want a copy of it before I
17 leave --

18 **MR. MASLIA:** You have it electronically?

19 **MR. PARTAIN:** I've got electronically and on
20 paper.

21 **MR. MASLIA:** Electronically. Thank you.

22 **MR. PARTAIN:** It goes into those things that we -

23 -

24 **MR. MASLIA:** Yeah.

25 **MR. PARTAIN:** No, I'm saying, they said in the

1 past they supplied all records. This is not in the
2 CLW or CERCLA or any other database that I've found.
3 Jerry and I, we were trying to find this on the
4 internet about a year ago because it's referenced in
5 BUMED. And I don't remember if Jerry found it
6 downloaded or how we found it but, you know, we found
7 it somewhere else. Why it's not in the Navy/Marine
8 Corps document libraries, I have no idea. But that
9 goes back to my point earlier about requesting things
10 specifically in writing. Because you never know if
11 you, you know, if you don't ask the Sphinx the correct
12 question, what kind of answer are you going to get
13 back?

14 **MR. STALLARD:** All right, are there any other
15 outstanding questions, issues that come to mind?

16 **MR. PARTAIN:** I did miss the mortality study.
17 When is the anticipated release date as far as -- I
18 apologize.

19 **DR. BOVE:** Spring.

20 **MR. PARTAIN:** Spring of 2013?

21 **DR. BOVE:** This year.

22 **MR. PARTAIN:** This year?

23 **DR. BOVE:** What I said was that I'm addressing
24 peer review comments and doing additional analyses. I
25 hope to be done with that by the middle of next month

1 at the latest, and I hope to start clearance process
2 for a spring release. But I'll let you know at the
3 next CAP meeting whether we hit it or not. We can't
4 control the clearance process once it gets to ATSDR.
5 So there's a similar issue that might arise that's in
6 other reports. But we're still on target as far as I
7 know for release this spring.

8 **MR. PARTAIN:** And also, going back to -- I forgot
9 your name, I'm sorry.

10 **MR. SHANLEY:** Eddie.

11 **MR. PARTAIN:** Eddie. I knew it was there, I just
12 had to go to the file card to get it. Now that we
13 have 1953 data for Hadnot Point with male breast
14 cancer, I'm not sure if you were using '57 as the
15 beginning point. Probably you want to go back and re-
16 query the VA to see if they have anyone that fits into
17 that category, for example Tom Jabrowsky (ph).

18 **DR. BOVE:** No. We have all the cases of male
19 breast cancer --

20 **MR. PARTAIN:** Oh, you just blanket --

21 **DR. BOVE:** Yeah, we'll just delete those who are
22 too young.

23 **MR. PARTAIN:** Okay. Perfect. Okay. Yeah, I
24 understand the January '69 thing.

25 **DR. BOVE:** The only issue is they may have

1 diagnosed with male breast cancer prior to 1995 in the
2 registry.

3 **DR. SINKS:** We'll get the service records from
4 the data file when we abstract. We identify people on
5 the basis of their diseases, so get the exposure
6 information.

7 **WRAP-UP**

8 **MR. STALLARD:** All right. Well, that brings us
9 to the part of the agenda where we talk about
10 scheduling the next meeting, which generally is in
11 about three months, which generally would coincide
12 with spring and the release of potentially valuable
13 long-awaited information. So as a CAP, rather than
14 set a date certain, should we vote in terms of some of
15 the things we're expecting?

16 **MR. PARTAIN:** Well, here's the problem with that.
17 I'm going to bring this up 'cause this is a sore spot
18 with me from the last CAP meeting.

19 **MR. STALLARD:** Okay.

20 **MR. PARTAIN:** We were told that, expecting the
21 Hadnot Point water model in November, nothing against
22 you guys, you know, we agreed to be flexible on the
23 date.

24 **MR. STALLARD:** Right.

25 **MR. PARTAIN:** And then November rolls around,

1 Jerry and I are sending email, where in the heck's our
2 meeting, and it just didn't materialize and now we're
3 in January. And the last CAP meeting was in July. So
4 it was six months in between. Don't mind being
5 flexible for the release of the water model, 'cause
6 frankly that's what needs to happen at the next CAP
7 meeting, but I do want to go ahead and put a day in
8 with the understanding that if the water model's done
9 sooner, maybe we can move that date up or move it
10 back. But I do not want to leave here today without a
11 date.

12 Second thing, being that our next CAP meeting
13 more than likely is going to be comprised of the
14 release of the water model and everything else, and I
15 know I'm beating a dead horse with this, but I would
16 like to see some type of formal invitation to the
17 Department of the Navy and the Marine Corps to be here
18 with the release of that, of the water model and the
19 first studies here. 'Cause that's -- I think it's
20 extremely important that they're here.

21 **DR. SINKS:** Okay. I'm glad I don't have a horse
22 since you're beating the horse. I think that I wasn't
23 at the last CAP meeting that happened in July but I
24 think the discussion was trying to go towards: Let's
25 have our CAP meetings when we can provide you

1 informative information, because, you know, you're
2 hearing the same presentations over and over
3 otherwise. And I think this year would have been -- I
4 think this is exactly where we want to be, which is to
5 be having CAP meetings as we're rolling out this
6 information as a way to inform you. And if we can be
7 face-to-face, that would ideal. We can invite the
8 Navy. I can't --

9 **MR. PARTAIN:** I know they can't make them come.

10 **DR. SINKS:** They have bigger weapons than I have.
11 I can't --

12 **MR. PARTAIN:** I understand they can't make them
13 come but the --

14 **DR. SINKS:** We can certainly invite them, and our
15 roll-out plan is to inform them simultaneously or, you
16 know, about the same time that we inform the CAP. And
17 we will be rolling out reports to the public very
18 shortly after we, you know, provide them to the CAP.

19 We are on target for, right now, three releases
20 in the spring of 2013, and that seems to be, you know,
21 about the right time for a CAP meeting. And if the
22 water modeling and the case control study, you know,
23 don't come out simultaneously, I would think we'd have
24 two separate CAP meetings, one for each.

25 I agree with you, Mike, that given the confusion

1 from the last meeting in July, I read the texts to see
2 why there was confusion. We do need to put an outer
3 limit on that in case those studies don't come out on
4 that time. So I don't know what your normal schedule
5 is, Perri? Three to four months?

6 **MR. STALLARD:** We would be looking at --

7 **MS. RUCKART:** I mean, there is no normal
8 schedule, you know. We don't have any hard and fast
9 rules. What we've been doing in the most recent past
10 is, prior to coming here today, we would have already
11 talked about dates and had some options because, you
12 know, there are a lot of factors at play here and
13 there's availability of the room and all these
14 people's schedules here, plus Dr. Portier, so I don't
15 feel like we could leave today with a definite date.
16 We can have a general time frame that we work from.

17 **MR. PARTAIN:** We can work with a date range.
18 That's what we do is we'll submit the date range, and
19 then you follow up emails to --

20 **DR. SINKS:** Well, let me suggest we use the month
21 of April, and we identify dates in April for a
22 meeting. And that will also help us in terms of
23 rolling these reports out because it will put a
24 monitor down there in terms of, you know, here's about
25 where we would like to be.

1 So why don't we work with that in mind, with the
2 goal being that the next meeting will be to release
3 these reports to the CAP, to invite the Navy or, you
4 know, the VA as well, you know, other stakeholders to
5 the meeting, so they can hear this -- the results
6 simultaneously. And we'll shoot for a date in April.
7 And I think if they're not ready by then, we'll still
8 try to go ahead and proceed.

9 **MR. PARTAIN:** No, we'll be asking where are the
10 reports?

11 **DR. BOVE:** I think that it's more realistic to
12 say late April into May because based on recent
13 history, it's taken a while to get these reports all
14 the way through the chain.

15 **MR. PARTAIN:** Where is the chain, by the way?
16 Who's giving the final clearance to publish these
17 things?

18 **DR. SINKS:** I'm going to go back. We have three
19 different reports that are in process, and I think
20 we're far enough along that we should be in pretty
21 good shape sometime in April to release them.

22 **MR. PARTAIN:** Well, why don't we try for late
23 April 'cause I'm not sure Easter's --

24 **DR. SINKS:** The mortality study -- I'm not sure
25 that the mortality study's going to catch up to the

1 other two but I think we should be shooting for that.
2 If it's the last couple weeks in April, I'm okay with
3 that.

4 **MS. RUCKART:** You know what, though? I don't
5 think that we can do all three in one meeting. That
6 is a lot of information to discuss. I think it makes
7 sense, maybe, to do water modeling and the birth
8 defects or -- you know, I think we're going to need
9 more than one meeting probably.

10 **DR. SINKS:** Well, let's, let's come up with a
11 date and we'll start from there, and we'll see, you
12 know, it would be great if we got all three out. We
13 do have this issue that, I think once we're ready to
14 roll these out we want to roll them out. We don't
15 want to hold them back. So you know, we'll figure out
16 what's on the agenda but I agree with Mike; let's go
17 ahead and set a date and let's be looking for that.

18 **MR. PARTAIN:** Another thing, too, I would say
19 late April, you know, that's fine. We'll just figure
20 the dates out. One thing in between, and this was
21 part of our issues between July and now. I understand
22 there's a lot of irons in the fire with the studies.
23 I would like to request, if possible and with respect,
24 that any delays or problems or hiccups or anticipated
25 problems be communicated down to the CAP, too, so we

1 don't get hit with a surprise at the last second,
2 something's gone wrong. Because if there's -- if
3 something's been submitted, even just letting us know
4 where in the process the reports are. Like if it's
5 gone to Morris's desk and your desk, and if it's gone
6 to XYZ desk, and now it's at, you know, St. Peter's
7 desk or something like that, it'd be nice for us to
8 know where the reports are and, you know, if there's
9 any hiccups or roadblocks that are preventing the
10 progress of the report.

11 You know, it's, like Jerry pointed out this
12 morning, you guys have been involved in this since
13 1991, 22 years. I have children that are older --
14 that were born about the same time, you know. It just
15 -- I can't believe that this has gone on so long. And
16 it needs to come to an end and the reports do
17 represent some of the end points. So I would
18 respectfully ask if we could be kept apprised of the
19 progress of reports, where they are, when they were
20 submitted, who they're going to, so, you know, we can
21 take action if we need to.

22 **MR. STALLARD:** All right.

23 **MR. PARTAIN:** Oh, one -- I'm sorry, I'm tagging
24 on things. One thing, too, I know we have a lot with
25 water modeling. We did this when I first got involved

1 in the CAP -- actually in halfway through my
2 involvement in this, we did this in 2009. There was a
3 special meeting about the water modeling. And the
4 Navy was invited and, you know, Jerry and I were
5 invited as members of the CAP.

6 There's a lot with the water model for Hadnot
7 Point, and especially Morris today, you know, going
8 through, there's a lot of questions and Morris and I
9 have been bantering back and forth about some of the
10 things with the water modeling. I know Jerry and I
11 specifically have a great deal of concern about the
12 fuel plume. You know, the presence of 1.2-plus
13 million gallons of fuel floating around within very
14 close proximity, 300 feet of active, producing water
15 wells. I want to make sure, for the benefits of the
16 veterans and their families, that we really understand
17 what's going on and how Morris has come to his
18 conclusions. Not to question his work or cast doubt
19 on it, but so we, you know, we don't get hit with an
20 end product that we don't understand.

21 I would like to submit that, bring up and have a
22 special meeting with Morris, Frank, Jerry, myself and
23 Dr. Aral, Bob Faye, and have a discussion about the
24 water model. And invite the Navy, too, if they want
25 to come -- I have no problem with that. And get, you

1 know, get some of these questions out that Morris, you
2 know, can address and let us know what we have. Don't
3 know what your thoughts on that but I would like to
4 request that between -- before the release of the
5 water model.

6 **MR. MASLIA:** Can I just clarify, the meeting that
7 you're talking about in 2009 was an expert panel.

8 **MR. PARTAIN:** Yes, that's it.

9 **MR. MASLIA:** That we, ATSDR, set up to get expert
10 input into the direction we should go and some things
11 we need to consider. The Navy was invited both to
12 bring -- have a person on the panel, which they did,
13 as well as to have somebody speak on that. And I just
14 want to make sure we're -- you're not suggesting
15 having another expert panel meeting.

16 **DR. SINKS:** So, let me make sure I understand
17 what you're requesting, Mike, and one of the things we
18 need to keep in mind is our fairly aggressive attempts
19 to make sure we maintain our timeline for producing
20 this report in the spring of 2013. So that's one of
21 our goals is to be able to release the water model in
22 2013.

23 **MR. PARTAIN:** All right. You might want to tell
24 her that her conversation's being court recorded, too.

25 **DR. SINKS:** It doesn't bother me.

1 **MR. PARTAIN:** I'm ADHD so I'm all over the place.

2 **DR. SINKS:** I'm hard of hearing, so.

3 **MS. BLAKELY:** Yeah, so am I.

4 **DR. SINKS:** But I think what you're asking for is
5 an informal meeting with Morris and his team that
6 would go over the methodologic issues that we used in
7 the water model, not the results because the results
8 won't be --

9 **MR. PARTAIN:** Be published until --

10 **DR. SINKS:** Released until they're released. And
11 we'll brief the CAP on the results. But to sit down
12 with Morris and his team to better understand the
13 methods behind what they did and what difficulties
14 they saw. And inviting the -- you'd also welcome
15 Department of the Navy to participate in such a
16 meeting. Is that pretty much -- and to do that before
17 we roll out the --

18 **MR. PARTAIN:** It would be nice to -- I mean,
19 something like that, I think, would be, I mean, it
20 would be valuable to the community so that way if we
21 have questions or concerns, you know, we get them
22 addressed. 'Cause we do.

23 **DR. SINKS:** So let me not provide an answer but
24 let's take that under advisement and we'll have to get
25 back to you in a short period of time.

1 **MR. PARTAIN:** Understood.

2 **MR. MASLIA:** Okay, Dr. Sinks, I would like, and
3 I'm not speaking on the Navy, but they have gone on
4 record, when we met with, I forget which general it
5 was, during the data mining, and they have said to us
6 that they will not and do not accept any of our water
7 models. So you can invite them and I want to believe
8 we attempted to -- we offered to get into a technical
9 discussion with them at any point.

10 **MR. PARTAIN:** Do we have this in writing by the
11 way?

12 **MR. MASLIA:** I know there was a conversation
13 between me, Bob Faye, Mike Edwin (ph) and Dan Waddell,
14 their head technical guy from NAVFAC.

15 **MR. PARTAIN:** So my understanding, if I hear you
16 correctly, is that the Navy has already come out with
17 the position, before your work is complete, that they
18 will not accept your models?

19 **DR. SINKS:** Let me just -- one thing, we don't
20 have anything in writing. You know, this is something
21 that may have been a discussion between Morris and
22 technical SMEs that provide information and aid.
23 There are disagreements between the agency as to
24 whether or not we can use, you know, data to do water
25 modeling. And you know the NRC report has its own set

1 of --

2 **MR. PARTAIN:** Yeah, it's pointless.

3 **DR. SINKS:** We're moving ahead to use the water
4 modeling. We think it's a valuable way. I think the
5 essence of the request was, if they would like to
6 participate, that would be okay with you. It's up to
7 the Navy if they participate. I have no problem
8 extending an invitation, whatever their concerns are.

9 We aren't always in agreement between what
10 members of the CAP say and ourselves and we're not
11 always in agreement with what they're saying. So I
12 think we can always agree to disagree on certain
13 issues. We should be open. We can extend the
14 invitation, if we decide to have it. So I'm not going
15 to give you any specific answer about whether we'll
16 accommodate the request but we'll consider it and get
17 back to you.

18 **MR. PARTAIN:** Okay. So they flat out said
19 they're not going to accept it --

20 **MR. MASLIA:** I know who said this... data
21 mining... they have no issue. We've done this in the
22 past. We did this in 2008. I had them here to
23 discuss our approaches. But what I would suggest
24 first before we schedule such a meeting is to allow
25 y'all, once the reports are released, to go through

1 the reports. Because they contain a lot of detail on
2 approaches, the mathematics, what assumptions were
3 made.

4 **DR. SINKS:** So let's go ahead and have that
5 discussion internally, in terms of the request, and
6 we'll get back to Mike fairly soon. And in terms of
7 getting -- how we'll respond to it. It's a reasonable
8 request.

9 **MR. PARTAIN:** And the things that are being
10 cleared, and not results, but like for example some of
11 the findings that were released for the VA and stuff,
12 if anything that comes up in the interim between now
13 and the next CAP meeting that's being released, if we
14 could get the CAP to get a copy of it, I would
15 approximate it.

16 **DR. SINKS:** Right. We won't release anything
17 publicly that we wouldn't be providing to the CAP or
18 other stakeholders. That's what we did today.

19 **MR. PARTAIN:** Okay. And out of curiosity,
20 Morris, did the Navy express similar concerns about
21 your Tarawa Terrace water model? A refusal?

22 **MR. MASLIA:** They basically are in agreement,
23 except the NRC report which obviously we have not only
24 disagreed with verbally but we have published --

25 **MR. PARTAIN:** Well, they paid for it, so...

1 **MR. MASLIA:** -- a journal article stating, it
2 appeared in a journal stating our approach and our
3 disagreement with that.

4 **MR. PARTAIN:** But the Navy --

5 **MR. MASLIA:** But we did -- they were here for a
6 meeting. They were here basically for a similar
7 meeting that you're asking for, for us to explain.
8 And they brought Navy personnel, Marine Corps and some
9 people from USGS as well who provided them with some
10 advice, and we explained what we did on the Tarawa
11 Terrace model. And to my knowledge, at least, to me
12 anyway, nothing was ever sent back, either orally or
13 verbally, disagreeing with what we did at Tarawa
14 Terrace.

15 **MR. PARTAIN:** Okay.

16 **MR. STALLARD:** Okay. And thus concludes our
17 meeting for today, I would say. We're going to have -
18 - we're looking for late April to schedule the next
19 CAP, bearing in mind that spring goes until June 20th,
20 right?

21 **MR. MASLIA:** It starts March 20th.

22 **MR. STALLARD:** Okay. So that's the time frame
23 we're working with. Any administrative stuff?
24 Vouchers submitted on time. Do what you need to do.
25 Please travel safely on your way home or wherever

1 you're going. Thank you very much, and those on the
2 phone, we're done for today, Tom and Sandra and
3 everyone else. Thank you.

4 (Whereupon, the meeting was adjourned, 2:23 p.m.)

5

6

1

CERTIFICATE OF COURT REPORTER**STATE OF GEORGIA****COUNTY OF FULTON**

I, Steven Ray Green, Certified Merit Court Reporter, do hereby certify that I reported the above and foregoing on the day of January 17, 2013; and it is a true and accurate transcript of the proceedings captioned herein.

I further certify that I am neither relation nor counsel to any of the parties herein, nor have any interest in the cause named herein.

WITNESS my hand and official seal this the 17th day of February, 2013.

STEVEN RAY GREEN, CCR, CVR-CM, PNSC**CERTIFIED MERIT COURT REPORTER****CERTIFICATE NUMBER: A-2102**